

U.S. ENVIRONMENTAL PROTECTION AGENCY

PUBLIC HEARING

ON

Proposed National Emission Standards
for Identifying, Assessing and Regulating
Airborne Substances Posing a Risk of
Cancer, and Advance Notice of
Proposed General Standards

Crystal Room
Shamrock Hilton Hotel
6900 Main
Houston, Texas

Thursday, March 13, 1980
9:00 a.m.

BEFORE: Joe Padgett, Chairman

U.S. ENVIRONMENTAL PROTECTION AGENCY

PUBLIC HEARING

ON

Proposed National Emission Standards
for Identifying, Assessing and Regulating
Airborne Substances Posing a Risk of
Cancer, and Advance Notice of
Proposed General Standards

Crystal Room
Shamrock Hilton Hotel
6900 Main
Houston, Texas

Thursday, March 13, 1980
9:00 a.m.

BEFORE: Joe Padgett, Chairman

EPA PANELISTS

Dr. Elizabeth L. Anderson, Director
Office of Health and Environmental Assessment
RD-689
401 M. Street, S.W.
Washington, D.C. 20460

Robert D. Bauman
Strategies and Air Standards Division
MD-12
Research Triangle Park, N.C. 27711

Allyn M. Davis, Director
Air & Hazardous Materials Division
EPA Region VI
First International Building
1201 Elm Street
Dallas, Texas 75270

(Mr. Davis not present during
evening session.)

Todd M. Joseph
Office of General Counsel
A-133
401 M. Street, S.W.
Washington, D.C. 20460

Robert G. Kellam
Strategies and Air Standards Division
MD-12
Research Triangle Park, N.C. 27711

David R. Patrick
Emission Standards and Engineering Division
MD-13
Research Triangle Park, N.C. 27711

Joseph Padgett, Director
Strategies and Air Standards Division
MD-12
Research Triangle Park, N.C. 27711

2-A

C O N T E N T S

1		
2		
3	<u>SPEAKERS</u>	<u>PAGE</u>
4	Richard Krablin	15
5	David Marrack	27
6	A. A. Gunkler	45
7	J. Bruce Bate	73
8	Keith Ozmore	81
9	Stephen C. Davis	97
10	Dennis S. Lachtman	132
11	R. G. Dillard	160
12	L. L. Krohn	175
13	Ivan G. Smith	184
14	W. L. Senn	191
15	Meg Titus	202
	Jim Mullins	222
16	Frances B. Smith	243
17	Harry M. Walker	248
18	Judy Martin	267
19	Brandt Mannchen	271
20	Janet Maier	289
21	John Fafoutakis	296
22	Lou Ann Anthony	309
23		
24		
25		

ATTENDEES

<u>NAME</u>	<u>REPRESENTING</u>
Richard Olafson	The Lubrizol Corp.
Clyde Roberts	Shell Oil
Richard H. Coe	Shell Oil
Frances V. Smith	League of Women Voters of Houston
J. B. Bates	Northern Petrochemical
J. E. Lihenberg	City of Houston BAQC
W. P. Anderson	Tenneco Inc.
Gene Speller	TACB
Martin E. Brittain	EPA, Region 6, Dallas
Carlos I. Diaz	Uvalde Rock Asphalt
Gary H. Baise	Beverage Fairbanks
A. Linkler	Dow Chemical
D. E. Fitzgerald	Atlantic Richfield
Roy McClure	Dupont
Rebecca S. Tolton	Gulf States Utilities
Dan R. Harlow	Diamond Shamrock Corp.
Ted M. Nairn, Jr.	Cosden Oil & Chemical Co.
Lisa R. Soldani	Texas Eastern Transcorp
V. P. Piana	Phillips Chemical Co.
D. Morrack	Individual
R. Helms	Kimberly- Clark
David Burroughs	Texas Eastern Corp.

	<u>NAME</u>	<u>REPRESENTING</u>
1		
2	Roger Tower	Celanese Chemical Co.
3	Dick Flannery	Texas Air Control Board
4	Harriet Mofore	Individual
5	Candy Peer	Individual
6	Elsie Randall	Individual
7	J. M. Annenheuser	Goodyear T & R Co.
8	B. F. Galloway	Goodyear T & R Co.
9	D. D. Malzahn	American Natural Resources
10	Bruce Williams	American Hoechst
11	L. L. Krohn	Union Oil Co.
12	B. A. Buenehe	ICI American
13	P. L. Shipley	Phillips Petroleum Co.
14	J. W. Kaufman	Phillips Petroleum Co.
15	S. W. Fretwell	Oxirane Corp.
16	R. Krablin	Anaconda Copper Co.
17	W. D. Broddle	Conoco Inc.
18	D. R. Trew	Cities Service Co.
19	T. W. Sims	Texas Stell Co.
20	D. Vli	Houston Health Dept.
21	Phillip Morris	American Hoechst
22	Lucas W. Brandt	Oxirane Corp.
23	Robert E. Abbott	Conoco Inc.
24	W. P. Toland	Badische Corp.
25	W. L. Senn	Exxon Chemical Co., U.S.A.

	<u>NAME</u>	<u>REPRESENTING</u>
1		
2	Hoyt C. Ambrosius	Texaco Inc.
3	F. P. Miller	Continental Carbon
4	J. R. Venable	Rohmand Haas Texas
5	H. M. Walhen	Individual
6	H. H. Nelson	Monsanto
7	C. W. Umlkut	Exxon Chemical Co. U.S.A.
8	J. A. Mullins	Shell Oil Co.
9	B. R. Vehnekamp	Shell Oil Co.
10	B. F. Ainelins	Shell Oil Co.
11	Glenda Greene	Shell Oil Co.
12	M. L. Sagenhal	Shell Oil Co.
13	J. C. Ledvina	Conoco Chemical
14	T. J. May	Illinois Power
15	Keith Ozmore	(illegible)
16	Glenda Barrett	League of Women Voters of Houston
17		
18	J. C. Molina	ARCO Chemical Co.
19	M. Lawsen	Deer Park Progress
20	Michael Tenoso	Harris County Pollution Control
21		
22	James L. Loyles	Harris County Pollution Control
23		
24	E. G. Stock	Westinghouse Electric Corp.
25	Norman D. Radford, Jr.	Vinson & Elkins

	<u>NAME</u>	<u>REPRESENTING</u>
1	Don Cox	Temple-Eastex
2	Paul Vavra	GBCPA
3	Wm. D. Utidjihn, M.C.	Union Carbide Corp.
4	J. G. Collins	Goodyear
5	J. F. Erdmann	Union Carbide Corp.
6	W. F. Muller	Goodyear
7	Bob Skorpul	Rice University
8	R. E. Savory	Pennzoil Co.
9	A. Gomez	Texas Air Control Brd.
10	Joseph M. Baretincic	IMC Sterlington
11	John Harris	Shell Oil Co.
12	Gregory David	Dow Chemical
13	C. L. Green	Alcoa
14	Ron Lanz	AIHE
15	R. G. Dillard	Texas Chemical Council
16	I. G. Smith	Sierra Club
17	J. Tappen	Phillips Uranium Corp.
18	Dennis Lachtman	Envirotech Corp.
19	Al Auenoso	U. of H., Downtown
20	C. H. Rivers	Shell Chemical Co.
21	Elizabeth Lankford	Citizen, Environmental Coal
22	Edelia Lee	EPA, Dallas
23	Dr. Eugene Brams	Prairie View A&M Univ.
24	Dr. Pat Brams	Pollution Assessors
25		

	<u>NAME</u>	<u>REPRESENTING</u>
1	Kurt T. Guenther	Rice University
2	Virginia Chaffee	Pennzoil Company
3	D. A. Kuhn	Conoco Inc.
4	R. D. Towe	Petro-Tex Chemical
5	Joan Jones	Galveston Bay Conversation P.A.
6		
7	Michael D. Henke	Gulf Oil Chemicals
8	Charles R. Shaw	M. D. Anderson Hospital
9	Susan Duffrey	Rice University
10	Noll Shenor	Rice University
11	John J. Zimmerman	American Mining Congress
12	Stephen C. Davis	American Mining Congress
13	Dennis L. Lachtman	American Mining Congress
14	John R. Summerlin	Rice University
15	Jess A. McAngus	Pace Consultants
16	Dave Stang	Rollins Environment
17	Brenda Gehaw	Individual
18	Greg Retter	Rice University
19	B. Scott	Rice University
20	Jim Scott, Jr.	Individual
21	Jeff Lambert	Individual
22	Kurt Jackson	H-GAC Healty Systems Agency
23	J. T. Adams, Jr.	ARCO Petro. Products
24	Maureen Lennon	API
25		

8

1	<u>NAME</u>	<u>REPRESENTING</u>
2	Thomas R. Scovel	Texaco Inc.

3

4

5 EVENING SESSION

6

7 John Fafoutakis Individual

8 Judy Martin Individual

9 L. E. Anthony Individual

10 James L. Moore Texaco Inc.

11 Jerold C. Lambert Airtrol Corp.

12 Charles H. Medlock Airtrol Corp.

13 J.M. Baretincic IMC Sterlington

14 Brandt Mannehon Houston Sierra Club

15 Janet Maier Individual

16

17

18 (There were 4 illegible signatures which could not
19 be deciphered.)

20

21

22

23

24

25

P R O C E E D I N G S

(9:10 a.m.)

CHAIRMAN PADGETT:

Good morning.

My name is Joe Padgett, and I'm the Chairman of this public hearing, informal public hearing, on EPA's proposed airborne carcinogen policy and the advanced proposal that we are making on draft generic for practice and operation standards.

This proposal was published in the Federal Register, October 10th, and a series of public hearings was scheduled for this week, the first two days of the week being in Washington, D.C.; March the 10th and 11th.

The third day, March the 12th, was in Boston; and today, here in Houston.

We will be meeting today during the day, and we also will have an evening session for several speakers who have elected to talk in the evening.

There is a list of speakers who have registered their intent to speak, and should be back in the back of the room on a table. I assume that you have that information.

10

1 The way we are conducting the
2 hearings, they are intended to provide opportunity
3 for interested persons to present their views and
4 to submit information for consideration by EPA
5 in the development of a final policy to identify,
6 to assess and to regulate airborne carcinogens.

7 These hearings are informally
8 structured. Those who are providing oral testimony
9 will not be sworn in nor will formal rules of
10 evidence apply.

11 Questions after each individual
12 speaks -- questions will be posed by the EPA
13 panel members, whom I will introduce shortly, for
14 the purpose of understanding better what they
15 have said and perhaps emphasizing or clarifying
16 different points that may have come up in their
17 testimony.

18 There will be no questions by
19 the participants or others in the hearing room;
20 but if individuals have questions that they would
21 like to see asked, they can write those
22 questions down and hand them to one of the EPA
23 staff members who will be at the back of the room
24 to bring up to the Chair for submittal; this, of
25

1 course depends on the time available.

2 We have asked each participant
3 to try to limit his oral presentation to no more
4 than ten minutes and then we will allow another
5 period of time for questions by the panel.

6 Participants are asked to state
7 their name and organization, if any, prior to
8 beginning their oral presentation. We would also
9 appreciate it if those participants who have
10 prepared statements bring those up and make them
11 available to the panel members and to the
12 Hearing Stenographer.

13 This proceeding is being
14 reported, and copies of the verbatim transcript
15 will be available for inspection and copying at
16 the EPA Regional Office Libraries and the EPA
17 Central Docket Section in Washington.

18 Copies of the documents --
19 some of the copies of some of the documents are
20 available at the hearing. The proposed policy
21 and the AMPR for generic standards and several
22 other pieces of material. If there is other
23 information that you would like, I would suggest
24 that you write down your request and give it to
25 the staff person so that that information, if

12

1 available, could be sent to you.

2 The record, the hearing
3 record, will remain open 30 days from this date --
4 that would be April 14th -- for submittal of
5 additional information which is pertinent to
6 information generated here or in prior hearings
7 this week.

8 We plan, as best we can, to
9 call witnesses in the order listed on the list
10 that I think you have, the list of witnesses;
11 however, if certain witnesses have special
12 problems, planes, trains to catch, schedules --
13 whatever -- we will see if we can accommodate
14 your desires.

15 With that, let me just run
16 through the panel members, the EPA panel members,
17 starting with my left. Over on the far side,
18 we start with Bob Bauman, who is with the EPA Air
19 Programs office.

20 Next is Bob Kellam, also with
21 the EPA Programs office.

22 Next is Al Davis, who is the
23 Director of the Air & Hazardous Materials Division,
24 Region VI.

25 On my right is Todd Joseph -- my

1 immediate right -- Todd Joseph, Office of General
2 Counsel and Doctor Elizabeth Anderson, Office of
3 Health and Environmental Assessment.

4 And then, David Patrick, who
5 is with the Emission Standards and Engineering
6 Division in the Air Programs office.

7 So, I believe, unless there
8 are some questions that someone may have relative
9 to the organization of the meeting, we will just
10 start with the first witness.

11 I would like --

12 There are a few seats up front
13 for those who have just come in.

14 (There was a brief pause in
15 the proceedings.)

16 CHAIRMAN PADGETT:

17 The first witness will then
18 be Richard Krablin.

19
20
21
22
23
24
25

TESTIMONY OF RICHARD KRABLIN

MR. KRABLIN:

My name is Richard Krablin and I am from Denver, Colorado.

I am testifying on behalf of the Anaconda Copper Company as their Manager of Health, Safety and Environment. I appreciate the opportunity to address EPA today and will keep my statement brief.

Of the many issues attendant to the proposed regulations, Anaconda has chosen four that are of special significance to our company, our principal business is the discovery and production of mineral resources.

Four areas of major concern to our company are illustrated by the following questions and statements:

Considering the often repeated concern by EPA of the scarcity of its resources, has the contribution of airborne carcinogens to the total cancer burden justified requiring these complicated and far-reaching policies and procedures?

Neither the cost nor benefits of this policy has been provided.

5 1 Does EPA, while living in a
2 political climate and dealing with an emotional
3 issue such as cancer, recognize the adverse
4 impact on businesses that will result from
5 listing a substance as having a high, moderate or
6 low probability of carcinogenicity?

7 Serious adverse impacts are
8 likely to affect such business operations even
9 though only an incomplete scientific evaluation
10 has been performed.

11 Is early listing under
12 Section 112 of the Clean Air Act really necessary
13 to increase the priority of consideration of
14 a particular substance and thereby accelerate
15 the development of regulations, or does the
16 listing itself help justify the conclusion of
17 carcinogenicity?

18 EPA has available other
19 mechanisms such as Advance Notice of Proposed
20 Rulemaking, ANPR, and the Toxic Substances
21 Strategy Committee, to establish priorities and
22 notify the public of impending rulemaking.

23 Is an industry such as ours,
24 which is limited by the nature and location of
25 the mineral resources, aided or hindered in

16

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

long-range planning by these proposed procedures?

Although, for example, EPA has listed factors that will be considered for new sources, no insight is provided for planning purposes as to how these factors will be implemented.

With regard to my first question on the need for an airborne carcinogen policy, Anaconda believes the EPA efforts are misplaced.

The advantages and disadvantages of these regulations are not sufficiently documented to support the additional bureaucracy, and the expenditure of taxpayers' time and money that will result upon their implementation.

The Agency should document in better fashion the contribution of ambient airborne carcinogens to the nation's total cancers when compared to other environmental sources, including diet, stress, cigarette smoking, and other factors in addition to air and water.

Can EPA expect the public to confirm the validity of the proposed regulations when, in fact, resultant costs and benefits are not provided and the health problem addressed may

7 1 be minimal when compared to other existing health
2 issues?

3 It is our belief that the
4 problem of airborne carcinogens has not been
5 shown to be of such magnitude that this proposed
6 complex policy is justified.

7 The second question is one of
8 special concern to Anaconda, as a representative
9 of an industrial category specifically mentioned
10 in the policy as emitting carcinogens, which we
11 might add, has been concluded only on the basis
12 of a preliminary analysis.

13 Even without the listing of any
14 particular substance as a carcinogen, our industry
15 is already placed on the defensive. What has
16 been gained?

17 The process of listing a
18 substance, as proposed in the procedures, despite
19 which category or list it is placed on, will
20 automatically label the substance a carcinogen
21 in the public mind. We do not agree that such an
22 association -- and that's all it will be -- will
23 be beneficial.

24 Guilty until proven innocent
25 may be necessary in some cases of toxic exposure

1 where the evidence is strong and potential risk
2 substantial, but not for suspected and unproven
3 airborne carcinogens. At a minimum, full
4 scientific analysis should be performed prior to
5 a Section 112 listing decision, so that all parties
6 can understand whether a risk is equal to, for
7 example, an extra day of exposure to the sun, or
8 in fact, is of serious concern.

9 Regulatory alternatives and
10 consequences also need to be considered since the
11 Clean Air Act requires promulgation of emission
12 regulations 180 days after listing. This raises
13 another very important omission by EPA.

14 No procedure for removing a
15 substance from the listing has been proposed.
16 Substances that are listed as a result of
17 preliminary analysis may indeed prove not to
18 warrant a Section 112 regulation and a mechanism
19 to unlist them should be available.

20 The third issue which concerns
21 Anaconda is that of the purpose of early listing,
22 we believe, is not clear, nor does it serve any
23 particular need. We do not believe that an early
24 listing, a regulatory decision, is a valid way
25 to focus EPA priorities.

19 1 In a mining situation a
2 selected substance will in all likelihood be
3 subject to controls from other Federal, State
4 or local agencies since often the substance is
5 emitted in combination with other substances, for
6 example, with suspended particulates.

7 The result of the preliminary
8 listing will not be to focus EPA's priority, but
9 rather will result in an indictment of the mine.
10 Other agencies may not wait for EPA to finish its
11 information-gathering activities and regulatory
12 analysis, and may act on incomplete information.

13 The selected substance will
14 always be suspect in the public's mind. EPA
15 has many internal mechanisms for establishing
16 priorities. If this is the purpose, a regulatory
17 decision such as Section 112 listing, should not
18 be used except for proven, significant health
19 risks.

20 The last issue that I would like
21 to discuss is that the air carcinogen policy does
22 not permit long-range planning for industries,
23 such as mining. The obvious limitation on mining
24 operations is that location is required at the
25 site of resource.

20

1 The cancer policy has three sets
2 of requirements for new sources: Presumptive
3 emissions standards, risk-avoidance criteria and
4 alternative standards, and describes factors that
5 will be used to establish such levels.

6 However, no indication is given
7 on the weighing of these factors and how EPA
8 will arrive at the standard. This level of
9 vagueness hinders industrial planning processes
10 and prevents meaningful public input into the
11 rulemaking process.

12 The purpose of the publication
13 of these regulations and the hearings are to
14 improve the process, to alert the public of
15 regulatory proceedings and to allow for public
16 input. Anaconda does not believe that the
17 regulations accomplish these goals. Instead, they
18 seem to be aimed primarily at avoiding challenges
19 later in the process.

20 To us, airborne carcinogens are
21 indeed unique air pollutants and may need special
22 treatment. However, the effort is most
23 effectively accomplished on a case-by-case
24 examination, unfettered by an attempt to generalize
25 with procedural regulations such as these proposed.

1 EPA should use its limited
2 resources to make a thorough evaluation of the
3 need for regulating hazardous airborne substances,
4 which may or may not include carcinogens.

5 There is a epidemiological
6 evidence which shows that industrial air
7 contaminants account for less than one percent of
8 the total lung cancer in the U.S. At this time,
9 it is not apparent that these regulations would
10 reduce that factor.

11 Anaconda and other industries
12 will not be aided by these regulations, and let
13 us not pretend that they shouldn't be. As part
14 of the public, industries must be able to plan for
15 the future within the existing regulatory
16 framework. Procedures that allow for such planning
17 are indeed aids to industry and the public at
18 large.

19 We sincerely hope EPA will
20 consider these comments and focus its attention
21 on regulatory procedures that will improve public
22 health in a cost-effective manner.

23 Thank you.

24 CHAIRMAN PADGETT:

25 Any questions?

MR. KELLAM:

Dr. Krablin, you mention in your testimony that you felt that EPA should not list a substance unless we had found or been able to prove that there was a significant health risk.

Could you tell me what kind of evidence you would consider sufficient in that regard?

In other words, do you feel that we need to be able to establish, epidemiologically, that people were dying as result of emissions of that substance from a source category?

Or would you consider that certain types of animal studies might be sufficient in that regard?

MR. KRABLIN:

Both of those points you mentioned refer to medical evidence of these carcinogenicity of a substance. I am not a medical doctor.

I might ask, instead, as an alternate question, just what it is that EPA may chose to decide as criteria for listing a

23 1 carcinogen, which I think is the real issue.

2 It is just not clear to us, or
3 to me --

4 MR. KELLAM:

5 Do you feel that the criteria
6 that are developed in the proposed policies are
7 to stringent or not stringent enough?

8 MR. KRABLIN:

9 Our position is that the
10 procedures are wrong. I cannot evaluate the
11 criteria, as I note it, for assessing
12 carcinogenicity.

13 What I am evaluating and what
14 we are commenting here, is that the approach of
15 EPA is to label carcinogens and then establish
16 evidence after the fact. And that is what we
17 are judging.

18 MR. KELLAM:

19 I understand. But you do say
20 that you would, I assume, favor listing if there
21 were proven significant health risks? And I'm
22 just trying to get a better understanding of what
23 you mean when you say that.

24 MR. KRABLIN:

25 I think that the use of

24

1 Section 112 for a substance, such as asbestos,
2 was a case-by-case approach -- and an appropriate
3 one -- to the concern over carcinogens.

4 MR. KELLAM:

5 Would you agree that the action
6 that the Agency took in the case of vinyl chloride
7 was also appropriate use of Section 112?

8 MR. KRABLIN:

9 I'm not familiar with your
10 vinyl chloride details, so I can't comment.

11 MR. KELLAM:

12 Thank you.

13 CHAIRMAN PADGETT:

14 Ms. Anderson?

15 MS. ANDERSON:

16 Yes. In the Federal Register
17 notice, the Agency specifically requested
18 informational comments on the evidence for the
19 airborne-carcinogen problem.

20 I notice you make a reference
21 in your statement:

22 "There is epidemiological evidence
23 which shows that industrial air
24 contaminants account for less than
25 one percent of the total lung

1 cancer in the"

2 United States.

3 I think we would be interested
4 in getting your reference to that, or the study
5 that you are referring to; and I think that we
6 would like to have that submitted.

7 MR. KRABLIN:

8 We would be glad to submit that.

9 CHAIRMAN PADGETT:

10 Are there any other questions?

11 (There was no response.)

12 CHAIRMAN PADGETT:

13 Thank you.

14 Dr. David Marrack?

15

16

17

18

19

20

21

22

23

24

25

STATEMENT OF DAVID MARRACK

MR. MARRACK:

Mr. Padgett, I am here as a private, concerned citizen -- whatever may appear elsewhere.

I particularly wish to address the problem and the policies needed to control airborne carcinogens. The -- To come to the right place, as you are well aware, this area has some quarter of or thereabouts of petrochemical industry in this country, one of the highest concentrations of such in the world.

We welcome you here today; it's very nice to see you down here.

The epidemiology of cancer in Texas has been particularly studied in detail -- and detail that's not available anywhere else -- by University of Texas M.D. Anderson Hospital, Epidemiology Department.

The cancer-mortality data were collected by looking at the clinical records and the histology of autopsy and surgical specimens, probably the most accurate epidemiological data available anywhere, and certainly for this county.

The Macdonald's -- Eleanor

1 McDonald study of the incidence of lung cancer
2 in this area is published -- and I have copies
3 of that for you, sir -- and is done by census
4 tracks around Houston and covers the period 1940
5 to 1969 and an update is being done at the
6 present time.

7 An earlier study demonstrated
8 three important facts, that lung cancer in White
9 males increased some twofold in the fifteen-year
10 period.

11 The tracks which increased lung
12 cancer correspond to those under the prevailing
13 winds from the ship-channel industries.

14 And there is other evidence --
15 both wealthy and poor-- on either side of that
16 area didn't have this problem.

17 (Handing out materials)

18 And I thought you might like to
19 pass that down to the Chairman.

20 (Due to the speaker's foreign
21 accent, his diction was partially unintelligible.)

22 The (unintelligible) and the
23 wind wheel which you have illustrates this
24 clearly. This has your major water supplies;
25 they don't correspond with this distribution. It

28

1 also has the food-distribution system, which
2 doesn't correspond either.

3 The obvious, common medium is
4 air. I point out, naturally, that about one
5 quarter of the current population tend to get
6 cancer and about one-fifth of it is going to die
7 from it, which is extremely sensitive.

8 As much as eighty percent of
9 this cancer is considered to be induced from
10 industrial products -- and, of course, I include
11 in that tobacco.

12 Including mass productivity,
13 including injury compensation, and emotional
14 trauma, the direct costs of this infliction is
15 around eighteen billion per annum, recurrently.

16 I point out to you that about
17 three times the cost of an Alaskan pipeline
18 occurring every year.

19 The reason is not apparent.
20 You are not addressing birth defects, and I would
21 submit to you for consideration, that there are
22 factors that cause abnormal cell growth leading
23 to cancer are the same that cause birth defects.

24 About three percent of fetuses
25 needing treatment before their fourth week have

1 birth defects.

2 The cost of these to the nation
3 has been estimated to be about three times that
4 of cancer; in other words, some sixty billion per
5 annum. That's ten to the ninth power.

6 These costs are a non-productive,
7 economic burden and represent, to a great degree,
8 a public subsidy of the industries making use of
9 chemicals.

10 To put it another way, the huge
11 recurring annual costs are part of the production
12 costs which are externalized by industries'
13 accountants. This makes a mockery of the product
14 selection by the market place costs. It is urgent
15 that the real cost of cancer and birth defects
16 and some other diseases, too, which are induced
17 by similar cancers, be placed where it belongs,
18 on the products precipitating these conditions.

19 Testing for mutagenic properties
20 of chemicals singly or in combination by the
21 hierarchy of the Ames test is not accurate.
22 Dr. T. C. Shoe (phonetic) has shown that non-
23 mutagenic -- i.e., non-DNA events -- could lead
24 to cell-multiplication disruption, as in cancer.

25 The (unintelligible) cells must

30

1 also be used as (unintelligible). Further, that
2 many inherited variances in humans and their
3 susceptibility to cancer. And (unintelligible) of
4 any testing program for chemical carcinogens.

5 And this, incidentally, was
6 discussed in this building last week, at the
7 Indiana (unintelligible) Symposium.

8 EPA, making of standards for
9 only four agents, I think it is, in the last ten
10 years is far too slow, again. You need to reach
11 a rate of about twenty per annum to catch up.

12 The sources of carcinogens
13 reaching the public are tobacco products, other
14 chemicals and radiation and the manufacture,
15 transport and use and waste disposal.

16 EPA must require that each of
17 these steps be effectively managed to contain our
18 human exposure. Regular monitoring and quality
19 control are steps which are also required.

20 Let's have a quick look at some
21 of the carcinogenic problems that are going on
22 around.

23 The bar graph taken from the
24 data of Dr. Saccomanno, and the American Cancer
25 Society and prepared by Dr. Saccomanno, it shows

1 the effects of uranium mining and of smoking,
2 taken singly and, in combination, together.

3 Both factors together cause
4 seven hundred per hundred thousand lung cancers
5 compared with 12.6 for a non-smoker, non-miner.
6 Now, that's unacceptable.

7 Vastly more important, it
8 attempts to reduce uranium miners' exposure since
9 the seventies is causing that seventy per one
10 hundred thousand rate to fall; i.e., controls
11 actually work.

12 I pointed out to you the data
13 appears to not reflect the induction of other
14 cancers that may occur from uranium mining and
15 smoking.

16 The impact of a (unintelligible)
17 smoker is equally ghastly in terms of human
18 health and just as costly to the public. Smoking
19 with active uranium exposure, uranium miners'
20 exposure, greatly aggravates the problem. This
21 was discussed by Dr. Cellokous (phonetic) in the
22 Journal of American Medical Association for
23 August 3, 1979, page volume 2 -- volume 242,
24 page 458.

25 The inter-action with other

1 carcinogenic chemicals and smoking have been less
2 carefully studied to date.

3 The available data suggests
4 that they are equally viscious combinations.

5 (Unintelligible) are carcinogenic
6 You would expect this from the nature of thier
7 chemistry, and this is discussed by Reynolds in
8 "Toxic (unintelligible) Energy --"

9 Sorry. "Toxic Injury of the
10 Liver," Part B, Chapter 14. The editor is
11 Dekker; Farber & Fisher, published in 1980. I'm
12 not sure it's yet on the bookstands.

13 And also in "Free Radicals in
14 Biology," Volume IV, edited by Pryor; 1980 at
15 Academic Press.

16 Prior to (unintelligible)
17 hydrocarbons seem to be regulated at the top.

18 Well, let's just look at the
19 ethene dichloride (phonetic) and vinyl chloride
20 as these regulations in Texas (unintelligible).
21 Ensuring the adequacy of the current regulations.

22 The standards are set in the
23 upper limit of air concentration. Yet, what's
24 needed is a limit for total air -- air mass in
25 the region. You must cover all releases: fugitive

3 1 excursions, start-ups and shut-downs. It's
2 2 absurd to have a limit of parts per million for
3 3 fugitive vinyl chloride and then ignore the
4 4 excursion releasing 20,000 pounds of vinyl chloride
5 5 monomer on an unsuspecting and non-consenting
6 6 public -- and I might point out it happened in
7 7 June of 1976 within five months, again, in two
8 8 different parts of the ship channel.

9 In fact, a review of the Texas
10 Air Control Board records shows for the year '76
11 and '77 there was average of six excursions per
12 month along the local area. The initial releases
13 recorded stem downward from 20,000 pounds per
14 incident.

15 There's no data on the public
16 and fetal-health effects of these releases; none's
17 been sought.

18 The present regulations do not
19 cover all plants processing or handling vinyl
20 chloride monomer. It's an intermediary or bi-
21 product waste; it's outside the Federal
22 regulations. And that's obviously not
23 (unintelligible).

24 Smokers and airborne
25 carcinogenic agents are permitted together, both

1 in the workplace and amongst the public, who are
2 not advised of and not consenting to carcinogenic
3 exposure. The informed consent, as understood by
4 members of the medical profession, is not practiced
5 by Industry. I consider this discriminatory. It
6 obviously needs correction.

7 Transportation of carcinogens
8 should be minimal and on-site use should be made
9 an attractive, economic sort of advantage. EPA,
10 at least in this area, has been tardy in analyzing
11 the composition and acting on the known, hazardous
12 toxic wastes in the area, like the one on Highway
13 146 and I-45, which is outside Texas City. We
14 have a lovely miasma of vinyl-chloride vapor
15 over it. It's been known for 18 months and yet
16 nothing of significance has happened yet.

17 The responsibility of control
18 of these carcinogenic and birth-defect-causing
19 agents and the proof and justification of all
20 actions by Management and the introduction and use
21 in commerce for export are done to protect human
22 health and the environment must rest squarely and
23 clearly with those who produce, otherwise have or
24 use these agents (sic).

25 It's two hundred years, sir,

1 since the birth of (unintelligible), the first
2 recognized carcinogen. And a mass of accumulated
3 evidence since then.

4 It's clear that smokers and
5 carcinogenic smokers are incompatible with
6 (unintelligible).

7 Statements of parties who deny
8 the effects of airborne chemicals and cancer
9 carcinogenesis is obviously suspect and their
10 motives for denying the obvious should be
11 reviewed.

12 Western Europe and USSR are
13 vigorously proceeding to clear up their
14 carcinogens in the environment. We tolerate a
15 (totally unintelligible). We brag about our high
16 chemical living but quality of our health-care
17 systems (unintelligible) the high quality.

18 Get our house cleaned up.

19 Thank you.
20
21
22
23
24
25

1 CHAIRMAN PADGETT:

2 Questions?

3 MS. ANDERSON:

4 Pursuing the same question I
5 asked the last witness, in these hearings we have
6 heard a range of testimony along the nature of
7 the airborne carcinogen problem.

8 From your testimony, it is clear
9 that you have looked at a lot of the evidence.
10 Just to review the range of testimony that we
11 have heard, we have heard one witness say that there
12 is no evidence whatsoever that air pollution
13 causes cancer.

14 Another witness says that it
15 is de minimus. Another one says it is less than
16 one percent. Another says between one thousand
17 and two thousand cases of cancer caused by air
18 pollution.

19 And we have heard a fifth one
20 say it is highly significant.

21 But other than citing specific
22 studies, to place no number on this. And I'm
23 wondering if, in your work, you could add to this
24 range of testimony that we have heard.

25

1 MR. MARRACK:

2 I thought I already had. You
3 have in front of you a bargraph which shows one
4 for uranium. And if you read that paper, it is
5 appalling considering the number. I happen to have
6 here samples -- not the whole paper; just the
7 front pages. I have a number of pages.

8 There are two copies. You might
9 have them included in the record.

10 Yes, of course, there are a
11 tremendous accumulation of data going from the
12 more obvious chemicals, the chlorhydrocarbons --
13 whether they are our old friend, chloroform, the
14 ethylene dichloride and vinyl chloride, which
15 represent some of the largest mass of chloride
16 hydrocarbons in the public domain down to nickel
17 compounds, chromium, arsenic, you name it, almost
18 every one of certain metals, Group IV and V, and
19 mainly, chlorinated compounds seem to be the
20 obvious problem. Others I have not looked at
21 so carefully.

22 MS. ANDERSON:

23 But in terms of the total
24 contribution, would you say it is significant --
25

1 MR. MARRACK:

2 Obviously, if it goes -- I
3 don't have it with me.

4 Looking at that bargraph for the
5 uranium miners, if you don't smoke, never mine
6 uranium, the figures are 12.6 per hundred thousand
7 of population.

8 If you smoke more than two packs
9 of cigarettes a day, it is 265 per hundred thousand
10 break. And those are figures of the American
11 Cancer Society.

12 The Saccomanno data for
13 non-smoking uranium miners -- this is before 1970 .
14 in 1965, in fact -- it is 20, or double. And for
15 the non-smokers, if you do both cigarette-smoke and
16 mine uranium, the figure is 700. And that is almo
17 60 times.

18 You can't treat single chemicals
19 on their own. You've got to take a holistic view,
20 and maybe that's one of the major problems of
21 EPA's approach at this present time.

22 MS. ANDERSON:

23 This is an impressive study in
24 terms of occupational exposure. Of course, the
25 emphasis today is on the ambient exposures. And

1 I suppose --

2 MR. MARRACK:

3 Some of the papers on the vinyl
4 chloride are in that bunch that you have there.
5 And others clearly show the problem.

6 And unfortunately, we are really
7 not going at -- as I can see it -- really getting
8 out to get the data.

9 Obviously, the first place to
10 start -- The lead time for cancer is anywhere
11 from 20 to 30 years; that's an awful long time to
12 wait to find out what's happening.

13 On the other hand, pregnancies
14 don't last forever and represent the same kind of
15 biological defect as when birth defects occur.
16 They occur with very high incidence today; about
17 three percent for reasons we don't entirely under-
18 stand. And, obviously, part of that at least is
19 environmental.

20 And the fact that the component
21 is environmental is rather easily determined. The
22 only problem is you have to regard miscarriages
23 as a form of birth defect.

24 Something like 80 percent of
25 miscarriages are associated with deformed fetuses.

1 And most of them don't know when they are aborting
2 Records of these are hard to come by. So these
3 are the kinds of data that would be well worth
4 getting hold of.

5 And these are the sort of
6 data that I submit should have been an urgent
7 matter after that release of 20,000 pounds of
8 vinyl chloride in the ship channel area. But
9 no one knows a thing about it. Unfortunately,
10 it is a totally improper experiment on a non-
11 consenting public.

12 And I just think we didn't
13 know anything about it.

14 MS. ANDERSON:

15 We have a question submitted
16 to the panel from the floor. It is really a
17 request for information.

18 "The witness said 80
19 percent of cancer is caused
20 by industrial products.
21 Can he supply data to show
22 that?"

23 MR. MARRACK:

24 The World Health Organization
25 has a publication on that. The New York Academy

1 of Sciences, I think it is Volume 272, has a
2 massive data on that and a more recent one I
3 don't remember the volume -- No. I don't know it.
4 It was within the last year or so. It was a
5 symposium last year.

6 The American Cancer Society
7 comes up with the same sort of figures.

8 USSR has the same kind of figures.
9 Now, admittedly, in the area of industrial products,
10 cigarettes don't grow on trees. We process them.

11 We throw all sorts of fungicides
12 and pesticides all over them and all the manufactur-
13 ing processes.

14 It is obviously an important
15 factor in the development of carcinogens as a whole.

16 The data on the birth defects in
17 operating-room women is extremely interesting.
18 I'm sorry I didn't bring the papers or reference
19 with me, but I can find it, about the increases
20 of weighted birth defects and miscarriages in
21 operating-room females by about three percent --
22 three times, I mean, and more interesting is the
23 partners male -- who work in operating rooms,
24 their spouses or those who get pregnant by them
25 have about half that incidence. In other words,

1 the male is carrying a defect to the female and
2 appears to be directly acquired by exposure to
3 something in the operating rooms of which the
4 volatile anesthetics are an obvious factor which
5 is not present in exposure to other hospital
6 personnel which are controlled.

7 CHAIRMAN PADGETT:

8 Any other questions?

9 MR. KELLAM:

10 You mentioned the use of
11 formalian (phonetic) cell transformation tests
12 and bacterial mutagenicity tests. It wasn't quite
13 clear to me. How do you feel that EPA should view
14 such tests in trying to evaluate the potential
15 for human carcinogenicity of a substance?

16 MR. MARRACK:

17 I don't think it's EPA's job.
18 I don't see why I should tax-fund that. I think
19 it's the job of the industry who wants to use
20 this chemistry and put it in the realm. It's
21 their responsibility. It is clearly their job.

22 If you want to change these
23 things, you got to show it's safe. You've got
24 obviously a built-in backlog of things that EPA
25 investigates.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MR. KELLAM:

Thank you.

CHAIRMAN PADGETT:

Any other questions?

(There was no response.)

CHAIRMAN PADGETT:

Thank you.

Mr. Gunkler, from Dow Chemical?

STATEMENT OF DR. A. A. GUNKLER

MR. GUNKLER:

My name is Al Gunkler, and I am an employee of Dow Chemical Company. My degree is in Chemical Engineering at the Ph.D. level. I have had 29 years of experience in plant operations and have managed research, production and process engineering design functions.

I am very much aware of the many requirements for the design of safe, environmentally responsible, operable production units. My philosophy is not unlike yours, in that I feel we should continue to reduce emissions and exposure to chemicals in a practical manner, just as we have done in a remarkable way over the past 30 years.

I have also had many years of hands-on experience in handling a multitude of chemicals, some of which are carcinogenic by your proposed definition. I have a healthy respect for the properties of chemicals -- respect, but not fear.

Incidentally, many of these chemicals are the ones that our former speaker talked about; and if they were like they say,

1 I -- and my 8,000 fellow employees -- would be
2 gone by now.

3 The importance of the proposed
4 EPA air carcinogen policy is shown in the following
5 statement, which appears in the supplement of the
6 EPA proposal.

7 I quote:

8 "A requirement that the risk from
9 atmospheric carcinogen emissions
10 be reduced to zero would produce
11 massive dislocations, given the
12 pervasiveness of at least minimal
13 levels of carcinogenic emissions
14 in key American industries. Since
15 few such industries could soon
16 operate in compliance with zero
17 emission standards, closure would
18 be the only legal alternative."

19 Unquote.

20 The preamble points out that
21 the administrator is not required to consider
22 negative toxicology data nor risk benefit
23 assessment and that he will use his judgment as
24 to how much regulation is needed. For the EPA
25 to place upon industry another barrier to

1 productivity with such an open-ended proposal,
2 it would seem that all data would be considered
3 a clear indication of the need for regulation
4 would have to be apparent. But that is not the
5 case with the EPA proposal: In our written
6 comments, Dow expressed the following major
7 concerns.

8 There is evidence that chemicals
9 in the ambient air have contributed to the
10 incidence of cancer.

11 The consequences of listing a
12 product as a human carcinogen are significant,
13 contrary to the document statement in the light
14 of the limited direct consequences of listing.

15 In view of these two facts, a
16 proposal that listing will be done without
17 reference to contrary data or public participation
18 is irresponsible.

19 The proposal fails to recognize
20 pertinent scientific data essential to an accurate
21 and reasonable estimate of carcinogenic risk.

22 The proposal is legally
23 questionable if it does not meet the statutory
24 requirements of Section 112.

25 Our written comments deal

39

1 extensively with the above statements. These
2 comments emphasized that there was obviously no
3 intent by Congress to regulate, under 112, a class
4 of chemicals generically. It was reserved for
5 chemicals of extra ordinary concern, to be
6 considered on a case-by-case basis.

7 It was not intended to operate
8 to preclude public debate and input into the
9 scientific bases and assumptions that go into
10 the EPA judgment of what is a human carcinogen.

11 We feel that the EPA has used
12 a section of the law -- Clean Air Act, Section
13 112 -- to accomplish a purpose for which that
14 section was not intended.

15 I would like to address those
16 concerns which are supported by my personal
17 experience in manufacturing, process engineering
18 and business.

19 The first are the problems
20 generated by easy listing of a chemical based
21 upon a preliminary evaluation of partial data.
22 Engineers pride themselves on being able to design
23 plants to meet specifications. But the reality
24 of the manufacturing world is that easy listing
25 with the resultant uncertainty whether the

40

1 control requirements will consist of work practice
2 standards, an unspecified best available
3 technology, or something more stringent will
4 hamstring significant process development and
5 construction decisions.

6 Let me clarify that if there
7 were evidence that the most probable estimates
8 of risk indicated a significant potential health
9 impact beyond that of competing relative risks
10 or generally accepted levels of risk, I recognize
11 that Section 112 would have to be applied as
12 described in the statute.

13 The chemical industry understand
14 its responsibility to deal with realistic
15 uncertainties. But experts have shown there is
16 no evidence of correlation of low-level air
17 pollution with community cancer, let alone with
18 identified carcinogens, and reduction of exposure
19 to high-level concentrations in the workplace is
20 being accomplished through other means. Thus,
21 there is no need for this proposal.

22 The EPA proposal would provide
23 listing of many substances. We anticipate that
24 the loose criteria for listing would precipitate
25 petitions for listing of many additional materials

1 For instance, it can be predicted
2 that the Carter Administration's major initiative
3 for a synthetic fuels program will be affected.

4 The comment in the document,
5 quote, "In light of the limited direct consequences
6 of listing," unquote, is false. Similar listings
7 have already caused harm.

8 Realistically, we urge you to
9 reconsider the fast listing part of the proposal.
10 We must have realistic, most probable estimate
11 of risk before listing.

12 We don't have to look far for
13 an example of the ease with which this air policy
14 can be needlessly overextended, beyond the
15 problems of listing.

16 The EPA has drafted proposed
17 rules for Benzene under Section 112. Since it has
18 been singled out, you would expect it to be, at
19 least, as much of a problem as any of the many
20 chemicals the EPA may choose to regulate.
21 Therefore, it is a good example.

22 The EPA has concluded that under
23 worst-case assumptions, Benzene could conceivably
24 account for as many as three chronic deaths per
25 year among 7.3 million people exposed within 20

1 kilometers of chemical manufacturing plants. This
2 involves multiplying one-chance in 2.5 million
3 hazard by 7.3 million people to get three.

4 The point I want to make is that
5 we are dealing with hypothetical numbers in an
6 area close to statistical insignificance.
7 Recognizing the ultra conservative assumptions that
8 go into such an analysis, particularly the no-
9 threshold linear response assumption, the most
10 reasonable conclusion is that no one will die
11 of cancer from Benzene in the ambient air from
12 this source.

13 The mandating of technical
14 solutions to non-problems -- and there is no
15 evidence that chemicals in the ambient air
16 contribute to community cancer -- will create
17 real hazards that are, at least, equally
18 significant.

19 Construction and operation of
20 process equipment involves real hazards, as a
21 process engineer, I would be very unlikely to
22 suggest the same best-available-technology
23 solution to all production units built at different
24 times with different technology.

25 For instance, to return to the

3 1 Benzene-containment proposal, the EPA has suggested
2 2 that all vents in 17 different production units
3 3 be routed to furnaces, which they presume are
4 4 on-site. No reference is made to the fact that
5 5 flammable mixtures may have to be transported
6 6 thousands of feet, in some cases, to obey this
7 7 mandate.

8 A second technical approach
9 9 with less inherent physical hazard was rejected
10 10 because it is only 95 percent as efficient. The
11 11 five percent difference in a given plant will
12 12 presumably cause one theoretical cancer in 1350
13 13 years, during which time a half-million people
14 14 will die of cancer from natural and life-style
15 15 causes in that particular exposed area.

16 The probability of on-site
17 17 accidents in over the same time frame caused by
18 18 this additional equipment will be statistically,
19 19 at least, as high.

20 Another example of over-reaction
21 21 is EPA's suggestion that Benzene be banned as a
22 22 future raw material in Maleic Anhydride production.
23 23 This prohibition is based on the impossibility of
24 24 containing all Benzene molecules. Therefore, some
25 25 theoretical cancer hazard will exist.

44
1 The solution is to
2 mandate the use of butane as a raw material. We
3 know butane can be handled safely, but it must be
4 admitted that handling a liquified flammable gas
5 under pressure has its own hazards, versus the
6 handling of Benzene which is a liquid at room
7 temperature.

8 Additionally, the availability
9 and costs of raw materials vary markedly over the
10 years. Locking ourselves out of raw materials
11 based solely upon upper-limit risk estimates is a
12 luxury an energy-and-raw-material-deficient nation
13 cannot afford.

14 We reject the idea that
15 Government control is the preferred solution to
16 perceived problems. There are economic and
17 marketing reasons for industry to build safe,
18 operable plants and to put safe products on the
19 market.

20 There are, by EPA's own count,
21 47,000 commercial chemicals. An EPA estimate in
22 ILRG document is that a maximum of 26 chemicals
23 is presumed to have caused some chronic problems
24 and these only in the workplace, not ambient air.
25 Of these, only two or three account for over 90

1 percent of the perceived harm.

2 Personal freedom in the
3 marketplace leads to the innovation Congress
4 expressly wishes to preserve. It is a fragile
5 benefit easily taken away by a Government
6 pressured to do something.

7 Difficult as it may be, it is
8 important that the EPA focus on real problems
9 and not expend its efforts on generic solutions,
10 for administrative convenience.

11 The principles of identification
12 of carcinogens and risk estimation in this
13 regulatory proposal are those of the IRLG
14 document, published in February '79. The IRLG
15 is only now beginning to review the many
16 substantive comments on their draft publication.

17 We urge EPA to recognize that
18 many of the comments persuasively recommend the
19 need for utilizing quality scientific determinations
20 and risk estimations as the first step in the
21 regulatory process. We understand that Douglas
22 Costle has referred to the IRLG document as a
23 negotiated document among five regulatory agencies.

24 Rightfully, public comment was
25 solicited. Thoughtful and wise deliberation on

1 the public comment is now expected. EPA has the
2 opportunity to utilize the public commentary on
3 the IRLG document for its own decision-making
4 under 112.

5 A lack of evidence suggesting
6 that airborne carcinogens contribute to the
7 incidence of community cancer allows a much more
8 reasoned approach to the identification and
9 solution of problems than is evidenced by this
10 proposed policy.

11 The kind of analysis EPA made
12 on Benzene is very useful and suffers only from
13 a lack of realism as to its significance. A
14 similar case-by-case approach will satisfy not
15 only health concerns but the intent of Congress
16 under Clean Air Act, Section 112.

1 CHAIRMAN PADGETT:

2 Thank you, Dr. Gunkler.

3 Any questions from the panel?

4 MR. PATRICK:

5 Yes, Dr. Gunkler, I would like
6 to ask one question. In your discussion of some
7 specific EPA suggestions on control of process
8 units, I presume you were mentioning the Benzene-
9 containment -- the 17 production units --

10 You mentioned that we had
11 rejected an approach that was 95 percent efficient.
12 Could you give me some more details on that. I
13 wasn't aware that we had done that.

14 MR. GUNKLER:

15 Well, you proposed letting
16 all of the gasses to a furnace. Another approach
17 was to absorb as much as you could in the refrigerat-
18 ed condensor and run the gas to the vat, from that
19 to a flare, and then you can't tell how efficient
20 a flare is, but it would reject that after you
21 absorbed most of the organics in the refrigerated
22 condensor, the likelihood of the gas going to the
23 flare would be infla~~re~~would be inflammable is
24 much less than if you sent the whole thing to
25 a furnace.

1 I think it is rather a
2 peculiar rejection, incidentally. I don't know
3 why.

4 MR. PATRICK:

5 As you said, the problem is
6 that there are no data to support that, and that
7 really was the point of the draft, to try to
8 generate some information and see if we could
9 get some more information. I think we would like
10 to go that route.

11 MR. GUNKLER:

12 We're going to get 90 or 95
13 percent to the flare, if you want to send that
14 stuff to the furnace. That isn't a solution.

15 MR. PATRICK:

16 Of course, most -- or a good
17 many plants -- already do routing to their furnace
18 and that was another major reason for -- for
19 going that route.

20 MR. GUNKLER:

21 If the furnance is nearby,
22 I have no dispute with that.

23 Now, there are some plants
24 where it is three miles away; and so, obviously,
25 that would be a problem.

1 MR. PATRICK:

2 That's all, sir.

3 MR. BAUMAN:

4 I would like to ask a
5 question, sir.

6 In your testimony you state
7 that a proposal -- that listing will be done
8 without reference to contrary data or public
9 participation is irresponsible.

10 And then furtheron you say that
11 if Contress did not intend to operate to preclude
12 public debate and input into the scientific bases
13 and assumptions that go into the EPA judgement of
14 what is a human carcinogen --

15 And I was not aware that we
16 had implied that we would do that without any
17 public participation.

18 But, irrespective of that
19 comment, what procedures or precesses do you
20 advocate or do you propose so that there be public
21 participation and that there be evidence to
22 the contrary --

23 MR. GUNKLER:

24 In the proposal, you have
25 suggested that you will look at data from whatever

1 source and you will make an assessment, maybe.
2 The administrator doesn't have to agree with the
3 risk assessment.

4 You will take the position
5 that you choose to use, and there's nothing in
6 there that says that there will be a public hear-
7 ing or that you will come to the industry who
8 is being controlled and ask for their data. Nothi
9 in that document that says that.

10 MR. BAUMAN:

11 So I gather, then, that your
12 proposal -- or the position that you advocate -- i
13 that there be some sort of a public meeting prior
14 to the listing decision? Is that correct?

15 MR. GUNKLER:

16 My proposal is that there
17 should not be a generic standard, but a case-by-ca
18 basis.

19 But, in any case, there should
20 be a meeting in which industry is allowed to
21 present their facts.

22 Again, until the IRLG document
23 is resolved, your answer is always going to be
24 that there is no-threshold and we will draw a line
25 straight to zero, which I find difficult to accept

1 We got tangled up in what is the
2 definition of a polychlorinated biphenol. When
3 we found out that "mono" was being called a
4 "poly" by the EPA, within a month of two we were
5 able to get that "mono" down below 50 parts per
6 million.

7 But our customers said we
8 don't want anything to do with anything that's
9 got "poly" in it. And we quit buying and we
10 have shut down one \$6-million plant.

11 MR. BAUMAN:

12 Thank you, Dr. Gunkler.

13 You are saying that that was
14 as a result -- that action was as a result of
15 the listing and not the regulation? Is that
16 correct?

17 MR. GUNKLER:

18 Yes. This is no longer a
19 polychlorinated biphenol. It is well below the
20 50 parts per million. It's a legal product. There
21 is no longer any reason for the customer not to
22 buy it and use it. But he has chosen to say he
23 doesn't want it.

24 MR. BAUMAN:

25 I see. Thank you.

1 MS. ANDERSON:

2 First, I had a comment and
3 then a question.

4 This is with respect to
5 the IRLG document and your statement that you
6 think this policy rests heavily on that document,
7 that it should be held up until the document
8 is reissued and the comments considered.

9 First of all, with regards
10 to the non-threshold concept, the EPA guidelines
11 for assessing carcinogenicity in the IRLG document
12 are consistent in saying that where there is
13 evidence to the contrary, it would certainly
14 be considered. If we don't have any evidence
15 that can give us information about the shape of
16 the base result, then one model that is recommended
17 is the linear no-threshold model.

18 But with regards to the
19 comments on the IRLG document, since it is regarded
20 as a consistent scientific background document
21 consistent with EPA's guidelines for assessing
22 carcinogenicity, I wondered what in particular in
23 that document you feel has such a heavy bearing
24 on this policy that it might be changed and so
25 substantially affect this policy that it should

1 be held up, the document is a rather general
2 scientific background, scientific basis for
3 carcinogen risk assessment.

4 MR. GUNKLER:

5 I think you expressed it. You
6 said until they can prove that there isn't a
7 straight line to zero. Therefore, we assume
8 human carcinogens. The only reason we can't
9 prove it is a straight line to zero is because
10 we take a million rats to prove it. That is a
11 very difficult thing to do.

12 The IRLG document leads up to
13 the idea that one test, one positive test, will --
14 on an animal -- that we will now call it a
15 human carcinogen.

16 It also says that no contrary
17 data -- You can run this test once and it's
18 positive for human carcinogen. And we can run
19 it five times negative, and that will not be
20 considered. That's in the document.

21 MS. ANDERSON:

22 That is not -- I think you
23 are not understanding -- I didn't think that's
24 what it said, because we have been very careful to
25 be sure that we wrote into the document the full

1 consideration of all studies, including negative
2 evidence. It does not state that this kind of
3 evidence will not be considered.

4 MR. GUNKLER:

5 It will be considered --
6 you say the same thing about epidemiology, that it
7 will be considered but that it will not be used --
8 that it will offset the idea of one animal
9 test being a positive test for human carcinogens

10 I shouldn't be arguing with
11 you. You're the experts. I'm not. I just feel --

12 MS. ANDERSON:

13 I'm not intending to set up
14 an argument. I was just interested. I think it's
15 very important to see what specifically in this
16 document, you think, has a substantial bearing on
17 this.

18 So it's the one-animal test.

19 MR. GUNKLER:

20 The one animal test; the
21 rejection of epidemiological data, which you say
22 can never be done --

23 You see, I'm quite concerned.
24 We had a good study in our Michigan plant in 1954.
25 We had 1,000 employees on the payroll. And we

1 followed them for 20 years and we found among
2 those 8,000 employees who were exposed to much
3 higher levels of chemicals than the public will
4 ever be exposed to, that there were less cancers
5 and less tests among the population that would
6 have been predicted.

7 I just -- You know, I have
8 a little trouble believing that parts per billion
9 in the ambient air are the problem that we are
10 addressing.

11 And I think when you carry
12 it so far as to those 17 plants, what you are
13 proposing -- we will save one cancer among
14 two and a half million people exposed every
15 four years.

16 That is the statistical
17 significance of your controls. And I find that
18 a mighty small number.

19 MS. ANDERSON:

20 That is not what I was asking.
21 I was asking about the bases --

22 MR. GUNKLER:

23 I think the IRLG document says
24 one animal test, regardless of the animal. And
25 your judgement -- Incidentally, we are not saying

1 you only use good judgement. But it will be EPA's
2 judgement that it was a valid test, that negative
3 tests will not be accepted as proving there isn't
4 a problem and the epidemiological tests will --
5 most will be considered but probably not be valuable
6 because it will be so difficult.

7 MS. ANDERSON:

8 I think pertinent to the
9 acceptance of data based on evidence from on
10 animal tests, if there are submissions that you
11 might give to EPA, we would be interested to see
12 them and that is information that would indicate
13 strong evidence from one-animal test should be
14 rejected, for example, in the case of aflatoxin.

15 Aflatoxin would have been
16 just entirely a human carcinogen if the evidence
17 based on the positive results in the rat had been
18 ignored and it was negative in the mouse and
19 positive in the rat and it was only confirmed
20 through positive epidemiological studies. But
21 there is evidence only from one animal test to
22 rely on.

23 I think evidence to the contrary
24 is important.

1 MR. GUNKLER:

2 If there is one animal
3 test where there is high evidence of carcinogenicity,
4 it's different than if there is a very low statistical
5 insignificance. It kind of repels the assessment.

6 If you have one test that is
7 at very low levels, if you are running a tenth
8 of a part per million or something like that, and
9 you find a high carcinogenicity, I would find that
10 would be good evidence. But I would think it
11 would have to be pretty firm.

12 I guess we are saying it's
13 very important that we not label our products human
14 carcinogens unless we have sound proof.

15 MR. JOSEPH:

16 Dr. Gunkler, you have indicated
17 that you think airborne carcinogens which are
18 present at very low ambient levels in communities
19 around facilities emitting those substances are
20 not responsible for any amount of increased
21 cancer.

22 Is that right?

23 MR. GUNKLER:

24 Yes.
25

1 MR. JOSEPH:

2 I take it, then, that you
3 believe that these substances have thresholds for
4 action carcinogens.

5 MR. GUNKLER:

6 I do.

7 I am not -- I am not a
8 toxicologist. I just feel from my experience
9 of exposure and everything that there must be.

10 MR. JOSEPH:

11 You also stated that it was
12 next to impossible to establish the level of those
13 thresholds because of the number of experimental
14 animals that would need to be used to do that.

15 How, then, is EPA to establish
16 what might be a safe level or where those threshol
17 might be.

18 MR. GUNKLER:

19 I think the evidence is that
20 there is no problem in the ambient air and that
21 we syould be looking for those one or two or three
22 or four that we might be able to establish are
23 particularly potent carcinogens and work on those
24 by a case-by-case basis.

25 I don't think that the evidenc

1 of problem in the ambient air is such that we
2 need to go on a generic standard to suddenly
3 control a whole lot of chemicals without
4 considering all of the data.

5 MR. JOSEPH:

6 Let me just clarify that this
7 proposal is not intended to do that.

8 This proposal is intended to
9 be a decision framework and set of statisticals
10 on which to proceed case by case, after considera-
11 tion of all data available on a given substance
12 and after the opportunity --

13 Well, if you read it closely,
14 you will see that that in fact is what it does.

15 MR. GUNKLER:

16 Certainly, efforts to go out
17 and monitor your area regularly -- which I have
18 no objection to, incidentally -- although I
19 wouldn't consider sending a man out there to leaks
20 all the time. I would go to an area and monitor
21 it which is not one of the possibilities.

22 You put that out immediately.

23 MR. JOSEPH:

24 You're talking about the
25 leak-detection program?

1 MR. GUNKLER:

2 Leak-detection program and
3 best available technology would imply that you
4 do it on a case-by-case basis.

5 MR. JOSEPH:

6 No. That is not what the
7 proposal says.

8 MR. GUNKLER:

9 You're saying the only thing
10 would be done is leak detection.

11 MR. JOSEPH:

12 The only thing that is done
13 in any way generically or automatically in any
14 sense is the leak-detection program. But even
15 that does not come into play at all until the
16 specific chemical has been listed and the specific
17 chemical is not listed until EPA has considered
18 all of the available evidence and part of the
19 consideration of the available evidence is the
20 review by EPA's Science Advisory Board after
21 publication in the Federal Register of the notice
22 that the meeting will take place and an opportunity
23 for any interested member of the public to submit
24 comments on any of the information being considered
25 or to submit additional information.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MR. GUNKLER:

Is that in this document?

MR. JOSEPH:

For the most part, yes.

MR. GUNKLER:

I don't think it's in there.

MR. JOSEPH:

You might be correct, that the document does not refer to the fact that these determinations of carcinogenicity may be reviewed by EPA's Science Advisory Board.

MR. GUNKLER:

I think EPA --

MR. JOSEPH:

To that extent, we should clarify your question.

MR. GUNKLER:

I think EPA will be fair and all that.

But what you have done is laid out a program here, saying that you can shut down all of industry if you so choose and there is nothing in there that says that you have to listen to anything we say. But because you are reasonable people you will only do this to a certain extent

1 and that is exactly what that says and we have
2 a problem there.

3 MR. JOSEPH:

4 We thought it was documented
5 to control problems only where problems exist and
6 to avoid shutting any large segment of an industry

7 MR. KELLAM:

8 Just a point of review,
9 under Section 112 in the Clean Air Act, there is
10 a statement that says that prior to listing any
11 substance under 112, the Agency will consult to
12 the maximum extent practicable with its advisory
13 committee.

14 I think that's what Mr. Joseph
15 was referring to.

16 MR. GUNKLER:

17 That's what I was saying, I
18 think.

19 Nothing in there says that
20 we get involved.

21 CHAIRMAN PADGETT:

22 Any other questions?

23 (There was no response.)

24 UNIDENTIFIED SPEAKER: I am Gary
25 Base (phonetic), Counsel for Dow. And with

1 respect to the listing provisions in Mr. Bauman's
2 question earlier, what we really want is spelled
3 out in the AIC program, and that is a set of
4 procedures to guarantee that you obtain all of
5 the scientific data.

6 Right now, you have purely
7 a discretionary approach. And I refer you to
8 the AIC proposal, which sets out the requirements
9 we think you should undertake for the listing.

10 CHAIRMAN PADGETT:

11 The next speaker is J. Bruce
12 Bate.

STATEMENT OF J. BRUCE BATE

MR. BATE:

I am J. B. Bate with Northern Petrochemical Company. I will offer oral testimony to enlarge on written testimony previously submitted by Mr. B. J. Anderson of our Company.

There is little understanding of the mechanism that allows uncontrolled cell growth commonly referred to as cancer. A great deal of time and money has been expended over the years in exploring the subject.

Many causes and factors have been postulated including germs, virus, submicroscopic organisms, chemicals, complex trigger mechanisms, heredity, physical susceptibility and others.

Learned researchers under government, private and business auspices have struggled with the problem. Mountains of data have been compiled, indexed, interpreted, misinterpreted, logically and illogically combined publicized, sensationalized, taken out of context and otherwise misused. Nevertheless, progress has and is being made in understanding cancer and

3 1 other toxicological effects.

2 Cancer, as our second leading
3 cause of death in the United States today, is
4 feared because of its frequency and because no
5 obviously successful cure is known. It is hoped
6 by all that the causes and mechanisms of cancer
7 can be discovered and controlled.

8 The regulations in the form
9 proposed can only delay the progress that must be
10 made. The use of regulations not based on
11 ordered scientific criteria but on rigid
12 determinations will effectively freeze science.

13 The so-called cancer epidemic
14 that has made sensational reading is in fact not
15 with us. Most forms of cancer have not increased
16 if the data from U. S. government and the
17 National Cancer Institute is considered. Indeed
18 in some forms cancer has decreased.

19 The incidence of lung cancer
20 has risen alarmingly. The EPA and many
21 researchers acknowledge that cigarette smoking may
22 be the cause of this. The increase in lung
23 cancer today can be shown to have a 20-year-lag
24 relationship to the annual per-capita consumption
25 of cigarettes.

1 EPA has chosen to dismiss this
2 and instead have proposed regulations under the
3 Clean Air Act, Section 112, to regulate classes
4 of chemicals. The regulations proposed will not
5 measurably increase public protection.

6 The EPA seeks to demonstrate a
7 need for this standard based on three premises:
8 Cancer is a terrible disease, and this is right.
9 That a cancer epidemic exists, and this is not
10 so; and, that the so-called epidemic is largely
11 due to industrial stationary sources. The last
12 two are not supported by facts.

13 The EPA policy will not
14 measurably increase public protection but will
15 place serious limitations on the U. S. economy and
16 result in substantially increased costs for
17 consumers.

18 EPA wrongly guesses that the
19 cost of compliance will be small. An Arthur D.
20 Little economic study of the policy projects costs
21 of compliance to run into the millions of
22 dollars. This impact in these days of runaway
23 inflation should require an economic analysis
24 before any regulations are implemented.

25 In addition to showing no

1 apparent need for the proposed regulations, no
2 scientific validity can be seen. Terms are
3 ill-defined; for example what is meant by
4 potential human carcinogen and significant risk is
5 unclear. Also, there is no mechanism for
6 consideration of comprehensive risk assessment
7 early in the regulatory process.

8 EPA has been a participant in
9 the Interagency Regulatory Liaison Group and
10 other efforts to develop a comprehensive and
11 meaningful national cancer policy. Yet it now
12 proposed rigid regulations inconsistent with
13 such efforts.

14 That concludes my testimony.

15 CHAIRMAN PADGETT:

16 Thank you, Mr. Bates.

17 Questions?
18
19
20
21
22
23
24
25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MS. ANDERSON:

I wanted to ask two things.
The first is on the second page of your testimony.

I think what you have said is
a bit misleading. At least, I don't believe
anywhere in the policy is there a mention of a
cancer epidemic or that such an epidemic is
largely due to industrial stationary sources.

Could you point out where the
Policy says that or implies that?

MR. BATES:

The implication is the need
for control and the fact of the cancer epidemic
is broadly talked of through the press and others.

What is the objective of
having a cancer policy?

MS. ANDERSON:

I think the legal mandate is
clear. We don't have to have an epidemic to
protect the public. I don't think EPA in any of
its programs has ever taken the position that
there is a cancer epidemic or that we need to
have a cancer epidemic before regulatory action
is considered.

And the second thing I wanted

1 to ask is: You say that this Policy is inconsistent
2 with the efforts of the IRLG work.

3 I wondered in what respect you
4 find statements in this Policy inconsistent with
5 that effort?

6 MR. BATES:

7 The evidence of this is offered
8 by the American Industrial Health Council, in both
9 their written and oral testimony, which you have
10 already heard.

11 MS. ANDERSON:

12 We are unaware of any
13 inconsistencies. We will look at those documents.
14 Thank you.

15 CHAIRMAN PADGETT:

16 We have some other questions.

17 I just -- On your first page
18 you had a statement:

19 "The use of
20 regulations not based on
21 ordered scientific criteria
22 but on rigid determinations
23 will effectively freeze science."

24 Will you elaborate on that.
25

1 MR. BATES:

2 Yes.

3 If you are going to classes
4 of compounds and without first investigating them
5 thoroughly and proving them to be carcinogens and
6 be effective carcinogens in the concentrations
7 that are encountered in the atmosphere, restrict
8 those, put them on lists under the Section 112,
9 this will to a considerable extent stop the progre
10 that is being presently developed in determining
11 what is the cause of cancer.

12 CHAIRMAN PADGETT:

13 In what way will it do that?

14 MR. BATES:

15 It will stop the research
16 being carried on. It will make it non-meaningful.

17 CHAIRMAN PADGETT:

18 On page 2 you had a statement:

19 "EPA has chosen
20 to dismiss this and instead
21 have proposed regulations under
22 the Clean Air Act, Section 112,
23 to regulate classes of chemicals."

24 Are you referring there to the
25 generic regulations?

1 MR. BATES:

2 That is correct.

3 CHAIRMAN PADGETT:

4 Are you talking about what
5 we tend to call "housekeeping" regulations, not
6 the basic best-available-technology regulations
7 but other regulations that might later be set for
8 specific sources, of specific sources of specific
9 chemicals?

10 MR. BATES:

11 Yes.

12 CHAIRMAN PADGETT:

13 All right.

14 Any other questions?

15 (There was no response.)

16 CHAIRMAN PADGETT:

17 Thank you very much.

18 I understand that Keith Ozmore
19 is substituting for Reginald Hirsch. Is that
20 correct?

21 MR. OZMORE:

22 Yes, sir.
23
24
25

STATEMENT BY KEITH OZMORE

MR. OZMORE:

Thank you, Dr. Padgett, for the opportunity to be here this morning.

As you will note on your schedule, this presentation was supposed to have been made by Mr. Reginald Hirsch, who is Chairman of the Air Conservation Committee of the American Lung Association.

Mr. Hirsch, and conveniently, he found himself to have to be in court this morning. So I have been elected to fill in for him.

At the outset I want to say this. This statement has been drafted through a consensus of about 12 people who serve on my Air Conservation Committee. And when question time comes, I want to emphasize that I am a lay person and I have never been bashful about saying that I don't know the answer to a question.

If I can answer your questions, I shall; if not, I would like to have the opportunity to take those questions back to my Committee and can come up with a consensus to the response and include it in the expanded version of this paper for inclusion in the record.

2 1 I wear several hats. I am also
2 Environmental Assistant to Congressman Bob
3 Eckhart, who represents the Eighth District in
4 Harris County, which includes, possibly, the
5 world's greatest chemical complex.

6 Most of the ship-channel
7 industries are in the District he represents.
8 While I do not represent the other two
9 organizations, I am a member of the Citizen's
10 Environmental Coalition in Harris County, and I am
11 a member of the Air Quality Task Force of the
12 Health Systems Agency which is in the process of
13 developing a regional health plan. In all of
14 those capacities, I am concerned about the
15 question you are discussing today.

16 The Air Conservation Committee
17 and the Lung Association, as you know, have long
18 been involved in supporting programs to try to
19 reduce respiratory diseases both in the
20 anti-smoking field and through the control of
21 chemicals that cause disease.

22 Thank you for the opportunity
23 to appear here today to testify on the
24 Environmental Protection Agency's proposed rules
25 concerning the control of airborne carcinogens.

53

1 I am Keith Ozmore and I
2 represent the Air Conservation Committee of the
3 American Lung Association. The Committee and the
4 Association, as you know, have long been involved
5 in programs to reduce respiratory diseases, in
6 both clean air programs and programs to educate
7 citizens to the dangers of cigarette smoking.

8 We are confident that an
9 unknown, but significant, number of future cancer
10 cases can be prevented by an improved control of
11 airborne carcinogens now. Current cancer rates
12 probably do not reflect the growth in the use
13 of chemicals since the early 1960's. This growth
14 and use is likely to result in an increase in
15 cancer rates in the future.

16 There is a lack of data caused
17 by the long latency period required for such
18 cancers to show up; for instance, consider the
19 increase in number of asbestiosis and lung cancer
20 caused by exposure to asbestos as long ago as the
21 1940's, when thousands of wartime workers were
22 exposed to asbestos in the war plants. We feel
23 like this question must be addressed by the EPA.

24 We would disagree with an
25 earlier speaker on the concept that a person is

1 innocent until guilty applies here. We are not
2 talking about people; we are talking about agents.
3 We're talking about dangerous substances, and if
4 they're not controlled before they are released
5 into the environment, innocent people may very well
6 be sentenced to death, because they were not
7 controlled. So I am talking about innocent people
8 who may die because of these emissions.

9 While the incidence of lung
10 cancer among smokers who have been exposed to
11 asbestos is extremely high, at the present time
12 lung cancer incidence among non-smokers is
13 increasing. Can this increase be linked to the
14 increased production of carcinogenic chemicals in
15 recent years?

16 There is evidence that lung
17 cancer rates in highly industrialized counties
18 such as Harris County are higher than the rate in
19 non-industrialized counties. Would this perhaps
20 indicate that the high production of organic
21 chemicals in the world's greatest petrochemical
22 complex may well have an impact upon the number
23 of cancer victims? While all the facts are not in,
24 we feel like this question must be addressed by
25 the EPA. Let me discuss for a few moments what we

1 feel the EPA might do to implement an airborne
2 carcinogen regulation program.

3 First, it should establish a
4 candidate list of carcinogens which may be emitted
5 from stationary sources as air pollution. As a
6 minimum, the two dozen or so substances which are
7 now known to cause cancer in humans should be
8 regulated. Furthermore, the Agency should list
9 other substances as hazardous air pollutants for
10 further study and regulation where appropriate.
11 On this point the Association is disappointed that
12 the Agency has moved so slowly in implementing
13 Section 112 of Public Law 95-95.

14 To date, only four substances
15 have been so regulated, out of the several
16 hundred potential hazardous pollutants. Because
17 of the time necessary to carry out research and
18 bioassays, the Association wants to call upon the
19 EPA to expedite its implementation of the program
20 at the earliest possible time.

21 Secondly, the EPA should resolve
22 in the proceeding certain essential scientific
23 issues which arise in the same manner and must be
24 resolved on the same evidence for each
25 individual carcinogen. These scientific issues

1 would be foreclosed from further consideration in
2 proceedings to regulate individual substances.

3 When significant new information
4 is presented which calls for a different
5 resolution of the scientific issues regarding
6 generic classification, such issues will be
7 reconsidered and then only in the contest of a
8 general proceeding to amend the general rules.

9 This resolving and foreclosing
10 of the generic scientific issues is essential if
11 a national and efficient consistent airborne
12 carcinogens policy is to be implemented and a
13 significant number of substances brought under
14 control.

15 The third point which we wish
16 to emphasize is that the EPA should establish in
17 this hearing that when a carcinogen is listed as
18 a hazardous air pollutant, any reasonably
19 available measure should be required to reduce
20 emissions to the lowest point practicable, even
21 if this were to require reductions below whatever
22 emissions limits are justified by consideration
23 of toxicity.

24 The reason for this recommendation
25 is that no threshold value can be established for

57

1 carcinogenic agents, and the only practical
2 recourse is to limit exposure to the public to
3 carcinogenic agents whenever possible.

4 It is our belief that the burden
5 of proof must be placed upon the manufacturers of
6 chemicals to show that emissions into the air are
7 not damaging to human health or the environment.

8 Our position is based on the
9 old saw, the proof of the pudding is in the
10 eating, or in this case, the proof of the air is
11 in the breathing. If we have two or more
12 industries emitting different substances, with
13 those substances intermingling, the results of
14 breathing such ambient air may be far more
15 dangerous than breathing just the one pollutant.
16 A program should be devised which would tend to
17 decrease such a problem.

18 On this point, we would also add
19 that dispersal of sources of carcinogenic air
20 pollutants is not an acceptable alternative to
21 controlling their emissions. Solution to
22 pollution by dilution is not a viable alternative.

23 The Association generally
24 approves the concept of risk assessment which
25 includes both carcinogenicity and exposure to the

1 public. However, we feel that the EPA should
2 abandon its arbitrary reliance on quantitative
3 risk assessment methods. They are simply too
4 unreliable and imprecise to play an important role
5 in determining the level of controls applied to a
6 hazardous substance.

7 While such estimates may have
8 a role in the grossest form of priority setting,
9 that would be valid only if the Agency more
10 explicitly recognizes the uncertainties of the
11 estimates and commits itself to not using them in
12 any way in subsequent standards setting.

13 The Association agrees that the
14 generic standards, discussed in the Register are
15 appropriate for the current effort to quickly
16 reduce fugitive emissions, storage and transfer of
17 carcinogens.

18 However, we feel that the EPA
19 should expand the scope of the proposed first-step
20 generic controls that will be applied quickly to
21 include those controls on process emission points
22 which are not expensive, or likely to be
23 inconsistent or duplicative of more effective
24 technological controls to be considered thereafter.

25 Furthermore, the Agency should

1 consider restructuring these interim rules in
2 ways which may enhance voluntary compliance and
3 permit more effective enforcement.

4 Very careful consideration should
5 be given to the siting of plants which emit
6 hazardous pollutants. At this date, data is
7 insufficient on the synergistic efforts of two
8 or more pollutants as compared with the effect of
9 a single pollutant. For instance, we know that
10 cigarette smoking and exposure to asbestos
11 exacerbates the lung cancer problem.

12 Finally, the Agency must take
13 into consideration all the costs of implementing
14 such controls. We agree that the cost to the
15 industries must be considered, since that cost is
16 passed on to the consumer. However, at the same
17 time, the Agency must consider not only the costs
18 of health care but the human suffering and trauma
19 which is caused to millions of Americans who will
20 contract some form of cancer during their lifetime.

21 How can you place a benefit-cost
22 concept on human lives and suffering?

23 Thank you.

24 CHAIRMAN PADGETT:

25 Thank you, Mr. Ozmore

1 MR. OZMORE:

2 I would like to add one more
3 thing. Congressman Eckhart supports this in its
4 entirety.

5 MR. KELLAM:

6 Mr. Ozmore, I have two related
7 questions and you may want to submit them later
8 in writing during the rebuttal period.

9 On the second page of your
10 testimony, you recommend that EPA establish a
11 candidate list of carcinogens.

12 I would like to ask you if you
13 have any suggestions as to the criteria that the
14 Agency should use to select or nominate
15 substances to that list; and, secondly, given that
16 such a list would be put together, how should the
17 Agency use this under Section 112?

18 MR. OZMORE:

19 How should the Agency use the
20 candidate list?

21 MR. KELLAM:

22 Yes. It is not clear to me.

23 By "candidate" list, do you mean
24 a list of substances from which some should be
25 selected for regulation under 112? Or whether the

61

1 entire list should be regulated as resources
2 became available? Or exactly what do you mean?

3 MR. OZMORE:

4 I would not answer that on my
5 own since I am representing the Committee here;
6 and I think I would need to refer that to the Air
7 Conservation Committee for response.

8 MR. KELLAM:

9 Thank you.

10 MR. PATRICK:

11 Mr. Ozmore, I won't put you
12 on the spot and ask you for details right now.

13 On the front page of your
14 testimony you made the statement that your
15 Committee recommended application of controls on
16 process points which were not extensive or
17 inconsistent or duplicative or more effective
18 controls that might be considered later.

19 Any particular examples that you
20 might have along that line, we would appreciate
21 hearing about them.

22 MR. OZMORE:

23 Very well.

24 MS. ANDERSON:

25 I had just one comment that I

1 think that I would like to make for you to take
2 back to your Committee and maybe have them think
3 a little further on this point, and that is I
4 would -- really largely discarding the notion
5 of quantitative assessment since we know that the
6 potency of carcinogens can vary as much as a
7 millionfold or more; for example, if cigarette
8 smoke and saccharin were potent carcinogens, we
9 would probably have an epidemic on our hands.

10 It would certainly make some
11 sense, as far as EPA's thinking is concerned, to
12 take this into account in some fashion, that it's
13 a potent factor, that the state of the art, while
14 very under-developed, is sufficiently developed
15 to take this into account and you emphasize the
16 idea of looking at cost to try to get the most
17 improved men in public health for the least
18 cost.

19 I think the proposal has
20 proposed limited use of quantitative risk
21 assessment and I think it would be desirable to
22 have your group rethink this and look at the
23 proposal and think if you think what is being
24 proposed is reasonable.

25 MR. OZMORE:

Surely.

MR. BAUMAN:

I would like to follow up just with one more comment on Dr. Anderson's remarks.

On page four of your testimony, you suggest that the Agency must consider not only the costs of health care but the human suffering and trauma which is caused.

And I would like to ask you if you could consider how that might be done. I realize that you are saying that quantitative -- your testimony is that quantitative risk-assessment may not be a way to do that.

Yet I know of no other way to consider that other than just arbitrarily. And I would like to have some idea of how that might be accomplished.

MR. OZMORE:

Well, I could go back to my earlier statement, that we think the burden of proof has to be placed on the manufacturers of the chemicals.

This concept already is embraced in the Toxic Substances Control Act, which Congressman Eckhart was very active in having

1 enacted. We see no reason why this same concept
2 should not apply to dangerous substances which
3 are already in the marketplace and in the
4 environment.

5 "The proof of the pudding is in
6 the eating." If you test those chemicals and find
7 out that they are dangerous, they should not be
8 admitted into the environment.

9 We will look at that and see if
10 we can expand on it further.

11 MR. BAUMAN:

12 I guess what I'm saying is that
13 if you ask Industry to tell you how much human
14 suffering and trauma is involved, it probably
15 will be very little.

16 MR. OZMORE:

17 That's the point I'm getting at
18 this morning. I don't agree with that.

19 CHAIRMAN PADGETT:

20 Thank you.

21 Excuse me. Are you finished?

22 MR. BAUMAN:

23 Yes, sir.

24 CHAIRMAN PADGETT:

25 I have a question from the Floor,

65

1 and I'll just read it as proposed:

2 "How does the witness
3 conclude that the number of
4 cancer tests presented by
5 the Policy will be significant
6 if the number is unknown?"

7 I don't know --

8 MR. OZMORE:

9 I think any increase is
10 significant, whether it is known or unknown.

11 CHAIRMAN PADGETT:

12 Let me ask you a question
13 relative to siting.

14 You mentioned very careful
15 consideration should be given to the siting. Do
16 you have any particular thought on siting?

17 MR. OZMORE:

18 I think you have to look at
19 the possible synergistic effects of whatever is
20 being emitted by the industries and look at those
21 synergistic effects to determine whether there
22 is going to be increased danger from the breathing
23 of the intermingling of two or more substances. I
24 is just not that simple.

25 Plants which cause synergistic

1 effects should be dispersed.

2 CHAIRMAN PADGETT:

3 Thank you.

4 Any other questions?

5 (There was no response.)

6 MR. OZMORE:

7 Thank you very much.

8 CHAIRMAN PADGETT:

9 Thank you very much.

10 We have a request from Steve
11 Davis and Dennis Lachtman to testify now, based
12 on personal scheduling problems.

13 So, if there is no objection,
14 I will call on Steve Davis.

1 STATEMENT OF STEPHEN C. DAVIS

2 MR. DAVIS:

3 Good morning, Mr. Chairman,
4 and members of the hearing panel.

5 My name is Stephen C. Davis.
6 I am Corporate Manager of Industrial Hygiene for
7 Utah International, Incorporated. I also serve
8 as Chairman of the Carcinogens Subcommittee of
9 the American Mining Congress.

10 With me today to testify is
11 Mr. Dennis S. Lachtman. Today we will expand
12 upon several of the points made in the written
13 comments prepared by this subcommittee and
14 submitted last month by AMC on the proposed EPA
15 Airborne Carcinogens Policy and Procedures.

16 First it should be noted that
17 the American Mining Congress is an industry trade
18 association with membership of over 500 companies
19 actively engaged in the exploration, development
20 and production of essential minerals and fuel
21 resources vital to our country's continued
22 prosperity and national resources.

23 We are here to discuss a
24 regulatory proposal that may greatly affect the
25 ability of this industry to continue to provide a

1 stable and secure flow of basic minerals resources
2 essential to our national productivity and
3 security

4 The points I will address today
5 concern: One, the lack of a sufficient
6 demonstration of need for the proposed program;
7 two, the allocation of limited societal resources;
8 three, the premature nature of the EPA proposal;
9 and four, inconsistency of the proposal with other
10 Federal carcinogens control programs. My
11 colleague, Mr. Lachtman, will focus his
12 presentation on what AMC feels is one of the
13 weaker points of the Agency's proposal, the
14 listing process for carcinogenic substances.

15 In the preamble to the proposed
16 regulation, EPA suggests three basic premises for
17 its proposal. These are: one, that cancer is a
18 serious life-threatening disease; two, that the
19 United States is suffering from an epidemic of
20 cancer, and that air pollution plays a significant
21 role in this cancer epidemic.

22 While we agree that cancer is
23 certainly a serious life-threatening disease,
24 EPA's remaining premises are not supported by its
25 analysis in the preamble nor by the existing

1 facts. Consequently, EPA has not demonstrated
2 the requisite need for its proposed national
3 procedures.

4 EPA's implication that the
5 United States is suffering from a cancer epidemic
6 is based on the broad definition of an epidemic
7 as the excessive occurrence of disease. In
8 medicine, epidemiology, however, the term epidemic
9 is normally applied to contagious disease and is
10 restricted to diseases that spread rapidly and
11 are temporary in nature.

12 Although the absolute number of
13 cancers has increased since 1930, the cancer
14 death rates, when adjusted for age, have remained
15 relatively constant and in some cases have
16 decreased. The overall cancer incidence rate, the
17 number of new cases adjusted for age distribution
18 of the population, has decreased slightly since
19 1950. The one major exception to this trend is
20 lung cancer.

21 As reported by the Surgeon
22 General in his 1979 "Report on Health Promotion
23 and Disease Prevention", overall cancer death
24 rates have increased only slightly for men since
25 1937 and have actually decreased slightly for

1 women over this same period. However, if lung
2 cancer attributable to cigarette smoking, which
3 has been shown to be responsible for as much as
4 80 percent of the cancer observed, is eliminated,
5 the cancer death rate for both men and women is
6 actually decreased.

7 To turn to environmental factors
8 and air pollution, we see that EPA also
9 attributes a large proportion of cancers to
10 environmental factors. EPA indicates that the
11 "World Health Organization, and other individual
12 experts have suggested that 60 to 90 percent of
13 all human cancers may be due to these factors."
14 We believe by using a very expansive definition
15 of "environmental factors" which includes such
16 things as smoking, diet, and occupation, EPA
17 has by implication vastly overstated that
18 proportion of cancer risk which is attributable
19 to air pollution and in particular to industrial
20 chemical sources.

21 Dr. John Higginson, founding
22 Director of the World Health Organization's
23 International Agency for Research on Cancer,
24 developed the concept that the total environment,
25 cultural as well as chemical, was responsible

71

1 for environmental cancers. When questioned recent
2 in Science about misinterpretation of his
3 conclusions relating to environmental cancers,
4 he stated:

5 "They have been
6 misinterpreted, funnily enough,
7 not among the majority of the
8 scientists with whom I have
9 contact, but by the chemical-
10 carcinogen people and especially
11 by the occupational people."

12 He further stated:

13 "I mean, people would
14 love to be able to prove that
15 cancer is due to pollution or
16 the general environment. It
17 would be easy to say 'let us
18 regulate everything to zero
19 exposure and we have no more
20 cancer.' The concept is so
21 beautiful that it will overwhelm
22 a mass of facts to the contrary."

23 He concluded:

24 "In other words, I
25 believe that overemphasis on

1 chemical carcinogens has
2 distorted our approach to the
3 environmental theory for
4 many cancers."

5 A little further on that
6 subject, Dr. Philip Handler, President of the
7 National Academy of Sciences, recently stated:

8 "Several hundred
9 specific chemical compounds have
10 been thus examined in the last
11 few years and a considerable
12 fraction found to be both
13 mutagenic and carcinogenic.
14 Nevertheless, we should lay to
15 rest the idea that it is these
16 man-made compounds, abroad in
17 the land, that are responsible
18 for the fact that 24 percent
19 of Americans die of cancer.
20 They are not. The possible effects
21 of all known man-made chemicals,
22 when totalled, could contribute
23 only a miniscule fraction of the
24 total of all carcinogenesis in
25 our population. As I noted

1 earlier, current age-corrected
2 incidence rates are much what
3 they were before most of these
4 chemicals were introduced into
5 our surroundings. They certainly
6 cannot account for the even
7 higher age-corrected cancer rates
8 in some, more primitive,
9 countries which do not yet
10 enjoy the benefits of a diverse
11 chemical economy."

12 To turn now to the allocation
13 of limited resources, EPA acknowledges the limits
14 of our national resources in principle by
15 suggesting in the preamble that generic standards
16 will be "low cost and readily implemented control
17 procedures." Yet the American Industrial Health
18 Council, AIHC, points out, based on a study
19 conducted by Arthur D. Little, that the total
20 costs to industry to control a single organic
21 chemical, Benzene, would be 1.1 billion dollars
22 for generic and best available technology, BAT,
23 controls. AIHC also notes that costs to control
24 perchloroethylene would exceed 100 million dollars
25 Fifty-five million would be required for generic

1 controls and 50 million dollars for BAT controls.

2 Thus, according to AIEC, the
3 cost to control only two synthetic organic
4 chemicals, Benzene and perchloroethylene, exceeds
5 1.2 billion dollars. Those figures do not include
6 the other 38 chemicals or the countless others
7 that may be identified in the other five source
8 groups established by EPA.

9 EPA's proposal is not low-cost
10 and it seems to misdirect our national resources
11 away from other more vital and more clearly
12 substantiated causes of cancer.

13 EPA proposed regulatory
14 procedures are premature, in our thinking, and
15 there are several reasons in our judgment that
16 EPA's proposed rulemaking is premature at this
17 time. Obviously, the first reason, as we just
18 discussed, is the absence of a demonstrated need
19 for these regulations.

20 EPA, as the hearing panel is
21 well aware, is participating in the effort of the
22 Regulatory Council to adopt a government-wide
23 policy for all federal carcinogen programs.

24 The statement published for
25 public comment in October 1979 by the Regulatory

1 Council was quite detailed and raised a number
2 of significant, complex issues. Numerous comments
3 were received and if the final statement is to have
4 any real impact on federal agency programs, the
5 development of those programs should await
6 completion of the Regulatory Council statement.

7 Further, the EPA is part the
8 Interagency Regulatory Liaison Group effort to
9 develop a common scientific basis for identification
10 of potential carcinogens and estimation of risks.

11 The ability of the IRLG to
12 achieve this goal will be severely hampered by
13 EPA promulgating precise regulatory procedures
14 at this time. Similarly, EPA's flexibility to
15 implement IRLG conclusions will be restricted.

16 The EPA's proposal is also
17 premature because the Agency has not completed
18 the regulatory analysis required by Executive
19 Order 12044 and by its own regulations. We
20 certainly agree with EPA that this is a major
21 regulatory proposal.

22 The potential effects of this
23 proposal are extremely far-reaching. AIHC has
24 already demonstrated to this hearing panel that
25 the costs of applying BAT controls to only a few

1 of the candidate substances far exceeds the 100
2 million dollar threshold for a full regulatory
3 analysis.

4 To sidestep the requirements of
5 Executive Order 12044, as EPA has suggested in
6 the preamble, is to make a complete mockery of this
7 Presidential directive. Until a regulatory
8 analysis is done, this proposal is premature.

9 Finally, I would like to talk
10 about guidelines instead of regulations..

11 Finally on this point, we believe
12 if EPA adopts any procedures at this time, they
13 should be adopted as guidelines rather than rigid
14 regulations.

15 As Mr. Lachtman will discuss,
16 the proposal contains many new concepts and
17 important regulatory phrases with which there is
18 essentially no operating experience under the
19 Section 112 program. Many critical terms are
20 either undefined or only briefly and vaguely
21 explained.

22 However, EPA indicates clearly
23 in the preamble that it considers that any final
24 rule will only be subject to judicial review in
25 the D. C. circuit. Further, EPA states that

76

1 "litigation of the issues posed by this rule
2 will not be available in connection with
3 subsequent rulemakings in which it is applied."
4 While we seriously question this legal
5 interpretation, to the extent that EPA is correct,
6 a very clear understanding of the terminology
7 and the process described is critical to public
8 understanding and acceptance of the program.
9 Under these circumstances it would be far better
10 to publish procedures for this program as
11 guidelines rather than rigid regulations.

12 The present proposal gives us
13 the ver uncomfortable feeling that EPA wishes to
14 suppress discussion and preclude adjustment of its
15 procedures as the need arises. We definitely
16 appreciate certainty in any regulatory process but
17 not at the expense of burdening all of us -- the
18 public, the Agency, environmental groups, and
19 Industry alike -- with a process that experience
20 may demonstrate is unworkable. Experience will
21 be our best teacher; maximum flexibility is
22 essential while we gain this experience.

23 Certainty, consistency and
24 predictability in regulatory programs are, of
25 course, extremely important goals to those

1 regulated. One way for EPA to achieve these goals
2 is to assure consistency with other federal
3 carcinogen control efforts. Whether EPA accents
4 or rejects our suggestion to publish its
5 procedures as guidelines, there are several ways in
6 which it should change its proposal to increase
7 interagency consistency.

8 First, as discussed earlier in
9 my remarks, EPA should withhold further action
10 on its policy and procedures proposal until the
11 Regulatory Council and the IRLG work is completed.

12 Second, as the Agency is well
13 aware, the Occupational Safety and Health
14 Administration, OSHA, just recently published its
15 final policy on "Identification, Classification
16 and Regulation of Potential Occupational
17 Carcinogens." OSHA and EPA act under different
18 authorizing statutes but, as in the past, both
19 agencies will potentially be involved in regulation
20 of the same substances and source categories.

21 Obviously, there will be a
22 significant need to have harmonious regulatory
23 procedures in these areas where the agencies'
24 interest will overlap. Divergent controls should
25 not result from essentially identical records.

77 1 Turning to the provisions of
2 the OSHA policy, we note that OSHA's Category I
3 Potential Carcinogens, equivalent to EPA's High
4 Probability of Human Carcinogenicity category, is
5 based on evidence of carcinogenicity in humans or
6 in a single mammalian species in a long-term
7 bioassay where the results are in concordance with
8 some other scientifically evaluated evidence of
9 a potential carcinogenic hazard.

10 EPA's equivalent category only
11 requires that "best or substantial evidence exist
12 from epidemiological and/or at least one mammalian
13 study."

14 The EPA does not require
15 concordant evidence of carcinogenicity to label
16 a substance as a high-probability carcinogen.
17 We believe the OSHA approach is more scientifically
18 sound and at least should be used by EPA as well.

19 EPA makes no provision for the
20 evaluation of non-positive results. OSHA, however,
21 stipulates that "where non-positive and positive
22 results exist in studies in the same species, the
23 non-positive results will be evaluated." This
24 allows the Agency to weigh evidence that refutes
25 the categorization of a substance as a carcinogen.

1 EPA's unwillingness to acknowledge this type of
2 evidence runs counter to good science and to the
3 best interest of the public.

4 That's the end of my
5 statement.

6 CHAIRMAN PADGETT:

7 Okay. Thank you.

8 We'll discuss this first
9 before we move into the next one, I suppose.

10 MR. DAVIS:

11 Yeah. I think so. We were
12 planning to just have the two testimonies together
13 But if you prefer, we'll go ahead and I'll be open
14 for questions now.

15 CHAIRMAN PADGETT:

16 Okay.

17 MS. ANDERSON:

18 Yes.

19 You started your statement
20 by saying that, in the preamble, EPA states
21 three basic premises --

22 MR. DAVIS:

23 I actually said "suggests."

24 MS. ANDERSON:

25 Your statement says "states" --

1 MR. DAVIS:

2 I know.

3 MS. ANDERSON:

4 Okay.

5 Because I find two out of
6 the three are rotten.

7 MR. DAVIS:

8 Yes.

9 MS. ANDERSON:

10 But what I would like to do
11 in a more constructive way, there is a paragraph
12 that I think does raise the issue and I would
13 like to know which parts of this statement you are
14 disagreeing with.

15 The paragraph taken from the
16 preamble says that:

17 "...although airborne
18 carcinogens may induce cancer
19 in a number of body sites,
20 lung cancer is thought to be
21 a principal form of cancer
22 related to air pollution.

23 "While cigarette
24 smoking is probably the most
25 important cause of lung cancer

1 in the United States, many
2 scientists believe that various
3 air pollutants increase the
4 risk of cancer from smoking
5 and other carcinogenic
6 insults.

7 "Available estimates
8 also indicate that occupational
9 exposures are responsible for
10 a significant portion of lung
11 cancer incidence in the United
12 States."

13 Are you disagreeing with --
14 that -- I think that kind of summarizes what we
15 were saying.

16 Are you disagreeing with all
17 that paragraph --

18 MR. DAVIS:

19 Let --

20 MS. ANDERSON:

21 -- part of it and if so which
22 part?

23 MR. DAVIS:

24 Let me state that you'll notice
25 that we do say an implication that there is an

1 epidemic. There are several areas in the preamble
2 in that same general area of the proposal that
3 tend to suggest that there -- the Agency considers
4 this an epidemic, not in the classical sense but
5 also that the epidemic -- a significant proportion
6 of that epidemic can be tied directly to air
7 pollution.

8 Quote:

9 "Cancer is
10 currently the second leading
11 cause of death in the
12 United States...."

13 A little further on down,
14 quote:

15 "The most recent
16 statistical --" "--recent
17 statistics show a continued
18 increase in total cancer
19 incidence, due principally to
20 increased lung cancer."

21 Further on down, under
22 causes of cancer, importance of environmental
23 factors,

24 "Studies of human
25 cancer rates and world-wide

1 geographic variations and
2 observation of incident rates
3 in migrant populations revealed
4 the factors in the human
5 environment are probably
6 responsible for a large
7 portion of cancers."

8 Moving over to the third
9 column, we discuss, finally -- part of the
10 sentence, it says:

11 "The dimension of
12 the problem posed by airborne
13 carcinogens remains significant."

14 And with those kinds of
15 statements, and particularly the link that is made
16 between the incidence of cancer and the problems
17 of cancer and environmental factors and then
18 focusing on the aspect of air pollution one comes
19 to the conclusion that -- that the Agency is
20 suggesting this kind of relationship.

21 MS. ANDERSON:

22 Well, we certainly didn't
23 intend suggesting -- I think the language as it
24 was read to you -- that air pollution is causing
25 a major epidemic of cancer. I think what I read

1 you quite accurately reflects what we're trying
2 to say.

3 But more specifically in asking
4 which of those statements in this paragraph you
5 would disagree with just to dissect it a bit do
6 you think that there are problems in occupational
7 exposures?

8 MR. DAVIS:

9 Where precisely are you? I
10 have the proposal in front of me.

11 MS. ANDERSON:

12 I'm just asking you -- I'm
13 dissecting this paragraph a bit.

14 Do you think that -- are you
15 saying -- do you think that there are or are not
16 problems perhaps in the occupational environment?

17 MR. DAVIS:

18 I think that if you --

19 MS. ANDERSON:

20 -- from air pollution.

21 MR. DAVIS:

22 In the occupational environment

23 MS. ANDERSON:

24 Right.

25

1 MR. DAVIS:

2 Okay.

3 There are instances -- and
4 certainly OSHA has regulated a number of
5 carcinogens which have -- they had evidence of
6 causing excess cancers in the working population.

7 MS. ANDERSON:

8 Do you think the problem
9 stops at the fence line of that industry or
10 can it expand into the immediate surrounding
11 populations living near that industry?

12 MR. DAVIS:

13 I think that would be
14 something that certainly a reasonable person might
15 expect.

16 But in most cases it is
17 yet unproven.

18 MS. ANDERSON:

19 Do you think that the mandate
20 that Congress has given EPA to regulate
21 carcinogens means that no regulatory action should
22 be taken until we can actually see if that's in
23 the surrounding population by positive
24 epidemiology studies.

25

1 MR. DAVIS:

2 That is a hazardous position
3 to take.

4 [Laughter]

5 MS. ANDERSON:

6 Then -- how do we -- how do
7 we look at this particular problem outside the fence
8 What do we use -- in other words, what are you
9 suggesting --

10 MR. DAVIS:

11 Again, I would hate to --
12 retreat into a disclaimer, if you will, of not
13 being a toxicologist or an epidemiologist. But
14 some of the areas that Mr. Lachtman will address
15 and hopefully we will have further developed by
16 toxicologists and biostatisticians relates to the
17 way in which the carcinogen becomes defined and
18 the way in which it goes through the IRLG screen.

19 And I think it's a matter of
20 the mechanism by which you establish carcinogenicity
21 a high probability, moderate and low, which is
22 very important --

23 MS. ANDERSON:

24 I have just another topic,
25 one other question, and that is, you suggest that

1 EPA should hold up its policy pending the IRLG
2 final considerations of permits.

3 First of all, just to commen
4 a bit, the document that was released by the IRLG
5 did have the agreement of the four major
6 regulatory agencies active in this area plus
7 participation from the National Cancer Institute.

8 Comments have been submitted
9 but OSHA did not withhold its policy pending final
10 consideration of these comments.

11 The IRLG report does appear
12 to be consistent with other reports that have been
13 issued by other scientific bodies. You seem to be
14 dodging the OSHA approach. I wonder why you think
15 that EPA should hold up its -- its policy --

16 MR. DAVIS:

17 Okay. Two -- two responses
18 to that.

19 As I understand it, first of
20 all, the IRLG document is not finalized and it
21 has not received full scientific review by the
22 scientific community.

23 All the comments are not in
24 and have not been digested.

25 Secondly, with regard to the

1 OSHA policy that's currently presented, we were
2 simply pointed out some advantages not necessarily
3 concurring with the -- with the total policy.

4 MS. ANDERSON:

5 Now, the --

6 MR. DAVIS:

7 And certainly the inconsisten-
8 cies that exist between the two -- the OSHA rule
9 and the current proposal.

10 MS. ANDERSON:

11 Yes.

12 We have heard some other
13 comments, primarily from environmental groups,
14 suggesting that it is more automatic to have
15 a specific category.

16 EPA has -- took its approach
17 three and a half years ago before OSHA's policy
18 was -- was even proposed and that was to take
19 it -- take the approach of considering all of the
20 data in the aggregate on a case-by-case basis
21 by certainly considering all negative data, all
22 positive data, so as not to have automatic triggers
23 that would throw a chemical into a particular
24 category since in looking at the evidence we have
25 seen that the quality and kinds of studies that

1 have been conducted have varied enormously.

2 I think in taking this
3 approach they certainly didn't plan -- EPA -- to
4 ignore anything but rather quite the contrary.

5 I wondered if you had happily
6 been over or reviewed the EPA's guidelines for
7 taking this weight-of-evidence approach?

8 MR. DAVIS:

9 One of the things that we
10 find in the current proposal is the lack of
11 explicit statements with regard to the use of
12 non-positive data and I think there was an early
13 statement and I'm not going to attribute it to
14 which speaker but there is a concern that the
15 terms are vague and that we just do not see any
16 opportunity for full scientific input into the
17 process.

18 Now, perhaps the Agency has
19 implied these. But they are not -- they are certainly
20 not explicit as we see it in the proposal.

21 MS. ANDERSON:

22 Do you find that the OSHA --
23 the OSHA approach to categorizing carcinogens more
24 acceptable than this weight-of-evidence approach?
25

1 MR. DAVIS:

2 Not necessarily more
3 acceptable. What we had spoken to was the aspect
4 of concordant evidence, the need for having
5 substantial additional evidence to back that up.

6 And, again, I would suggest,
7 not to defer, but I would suggest that Mr. Lachtman
8 will be dealing with some of those issues.

9 MS. ANDERSON:

10 And just one final question
11 along this line.

12 Since you did mention the
13 OSHA approach, do you think that in their policy
14 they have permitted more public participation
15 in a scientific presentation of data than EPA
16 is considering in its policy is that one of your --

17 MR. DAVIS:

18 It would appear that there
19 is more public participation available in the
20 OSHA approach and also that the record, if you
21 will, will be reopened and reexamined by any
22 interested person.

23 MS. ANDERSON:

24 My colleague just pointed out
25 one thing that I think you did admit from your

1 testimony and that is in pointing to inconsistencies
2 between the EPA approach of what is substantial
3 evidence on how probability carcinogenicity
4 you mentioned that it's inconsistent with OSHA
5 with regards to OSHA considering epidemiology
6 plus a single mammalian species, OSHA's third
7 category is a single mammalian species in an
8 adequately-conducted long-term bioassay study in
9 appropriate circumstances where the Secretary
10 determines the requirement for concordance is
11 not necessary (sic).

12 So I think this does put --
13 put the --

14 MR. DAVIS:

15 Where the Secretary determines
16 that concordance is not --

17 MS. ANDERSON:

18 In other words, OSHA is
19 saying that in appropriate circumstances, one
20 species might be okay with them, too.

21 MR. DAVIS:

22 In certain circumstances.
23 But that is not apparently an assumption at the
24 outset, that would be by exception rather than the
25 rule. That's the way I would interpret that.

1 MR. JOHN ZIMMERMAN, SR.:

2 If I might clarify just one
3 point about our view of -- the Mining Congress
4 view of this thing.

5 I'm John Zimmerman, Sr.,
6 Counsel for the Mining Congress.

7 In relationship to OSHA
8 versus EPA, what we hear you saying I think I like
9 the sound of.

10 But our problem is the
11 words in the proposal don't seem to carry out
12 all the contexts we hear coming from the hearing
13 panel.

14 And, of course, what we're
15 premising our testimony and our written comment
16 on is the precise words that we see in the
17 proposal.

18 In terms of the weight-of-
19 the-evidence test, we certainly applaud your use
20 of a weight-of-the-evidence test. But what we
21 felt when we read your proposal, your October 10th
22 proposal, was some pulling back from that weight-of-
23 the-evidence test, a very selective use of
24 phraseology from the interim guidelines.

25 And particularly in terms of

1 a seemingly reduction in this peer review that
2 precedes the listing action, I think that the
3 point we're trying to make on the OSHA policy here
4 is the weight of concordant evidence and the
5 consideration of concordant evidence seems to
6 be partly OSHA proposals.

7 We don't like necessarily
8 in OSHA the automatic-listing aspect of the
9 proposal. But certainly the consideration of
10 concordant evidence seems to be more possible in
11 the OSHA proposal than in the EPA October 10th
12 proposal.

13 And certainly when they do
14 have that third category for one animal test without
15 concordant evidence, they raise that to a very
16 high level to Agency determination before they're
17 willing to accept a one-mammalian test. It has
18 to be a Secretarial decision.

19 We don't get that sort of
20 feeling out of the October 10th EPA proposal.

21 MS. ANDERSON:

22 Just a comment on that.

23 If that's the way it comes
24 across, I think that is just a problem with the
25 verbiage in the proposal because I know the

1 proposal -- I can't tell you exactly now where it
2 refers to both the IRLG document and the EPA interi
3 guidelines.

4 And it says that policy will
5 follow both and both policies certainly emphasize
6 the importance of taking all data in the aggregate
7 when considering evidence of carcinogenicity and
8 the guidelines are quoted in part.

9 Perhaps if it doesn't come
10 across clearly, that's a problem that we can take
11 into account in rewriting, just to be a little
12 clearer.

13 CHAIRMAN PADGETT:

14 Any other questions?

15 MR. PATRICK:

16 Just one real brief point of
17 clarification.

18 In your discussions of
19 resources, you reference this recently completed
20 R. D. Little assessment for the American Industrial
21 Health Council.

22 I don't think we need to get
23 into that because they made their presentation
24 in Washington. We will be getting back with them
25 at a later date to discuss those -- their

1 assumptions and their numbers and their conclusions.

2 I did want to just clarify
3 one point and make sure that you understood. You
4 discussed -- you began a particular paragraph by
5 saying the generic -- talking about generic
6 standards as being in EPA's words "...low-cost
7 and readily-implemented control procedures."

8 And then you immediately
9 started talking dollars in terms of both generic
10 and best-available-control technologies --

11 MR. DAVIS:

12 It seems like a reasonable
13 thing to group those, because that is a sequential
14 thing -- not an automatic, but a sequential
15 occurrence.

16 And we did split figures and
17 show the figures for generic versus BAT -- and I
18 think the Little study does that kind of thing.

19 MR. PATRICK:

20 They split the costs and we
21 will be discussing their assumptions with them
22 later.

23 MR. DAVIS:

24 Right.

1 MR. PATRICK:

2 I just wanted to make sure
3 that you didn't think that our statement that
4 generic standards were low-cost implied in any
5 way that we were saying that the best available
6 technology was low cost.

7 MR. DAVIS:

8 No.

9 MR. PATRICK:

10 Okay.

11 CHAIRMAN PADGETT:

12 I have a couple of questions
13 from the audience. One I should have asked
14 Dr. Gunkler, I guess, first.

15 "If there is no
16 proof that industry
17 emissions cause lung
18 cancer, how do you explain
19 the Anderson data which
20 shows dramatic increase
21 in lung cancer down along
22 the Houston Ship Channel
23 industry?"

24 MR. DAVIS:

25 Certainly, I'm at a loss to

1 to explain the Anderson data since I'm not
2 familiar with it.

3 CHAIRMAN PADGETT:

4 Another question which refers
5 to the guidelines. Perhaps this will be addressed
6 by the next speaker, but:

7 "If you are trying
8 to eliminate inconsistencies,
9 why do you want guidelines
10 an uncertain enforcement
11 rather than rigid regulation?"

12 MR. DAVIS:

13 Perhaps we -- I don't know
14 whether I can answer that, except that I guess in
15 a sense by backing off the question a little bit
16 and look at the procedures as they are currently
17 written.

18 What we see is throughout
19 the process, both in the process, if we look at
20 the beginning stages of the candidate substance
21 and the listing as a high-probability carcinogen
22 with automatic implementation of generic controls
23 and then the possibility of going on to BAT and
24 then even higher control mechanisms, we see an
25 automatic tightening process....

1 We see -- and again, maybe I should
2 stop on this, because I believe Mr. Lachtman
3 does refer to it.

4 We also see that the procedure
5 as they are written at this point call for a review
6 in five years, again with the view towards
7 tightening the controls.

8 And if that gets locked in,
9 that kind of thinking, then we have some problems
10 with it. There are other areas, again, I think
11 Mr. Lachtman will address, that we have concerns
12 about in terms of vague terms which have not
13 been defined. We don't they've been defined
14 adequately in order to be able to define them.

15 MR. JOSEPH:

16 Have you identified them
17 more specifically in your written comments?

18 MR. DAVIS:

19 Yes.

20 CHAIRMAN PADGETT:

21 Okay. Thank you very much.

22 MR. KELLAM:

23 Mr. Davis, on page 4 of your
24 testimony, as part of your discussion of the
25 implied cancer epidemic and the role of air pollutio

1 in cancer incidence, you consider the lung cancer
2 as attributable to cigarette smoking, and I quote:

3 "...which has been
4 shown to be responsible for
5 as much as 80 percent of the
6 cancer observed."

7 And eliminating that, conclude
8 that the cancer death rate for both men and women
9 is actually decreasing.

10
11 One of the earlier witnesses
12 today presented testimony citing the potentiation
13 of cancer risks by combination of cigarette-smoking
14 and other agents, such as ionizing radiation and
15 asbestos.

16 Do you generally agree with
17 those studies that have shown potentiation?

18 MR. DAVIS:

19 I think there is evidence
20 that would indicate that there is potentiation
21 between cigarette-smoking and tobacco-smoking.

22 I would also further state
23 that in the general thought of that thinking that
24 we try to present with that argument is that --
25 and I think -- I can't find the statement and

1 precisely quote it -- but we feel that perhaps
2 these efforts controls (sic) are misdirected when
3 in fact it is acknowledged that cigarette-smoking
4 is such a major contributor to lung cancer and
5 that we do have limited national resources and
6 that cancer is a severe problem and that perhaps
7 these resources should be directed or allocated
8 elsewhere in cancer prevention and controls.

9 CHAIRMAN PADGETT:

10 All right. I think we need
11 to move on.

12 MR. DAVIS:

13 Thank you very much.

14 CHAIRMAN PADGETT:

15 Thank you.

1 STATEMENT OF DENNIS S. LACHTMAN

2 MR. LACHTMAN:

3 Good morning, Mr. Chairman and
4 members of the hearing panel. My name is Dennis
5 S. Lachtman. I'm Director of Health Sciences for
6 Envirotech and I serve on the American Mining
7 Congress Carcinogens Subcommittee.

8 We share with EPA the goal of
9 assuring protection of public health from hazards
10 associated with carcinogenic substances. I think
11 we all recognize our national resources are finite
12 so we should channel our efforts to maximize the
13 health benefits derived from commitment of
14 resources to pollution abatement.

15 There are several aspects of the
16 EPA proposal which need improvement to optimize
17 health benefits. I will concentrate my remarks
18 on the changes we feel are necessary in the listing
19 procedure segment of the proposal. Our written
20 comments address other stages of the procedure
21 as well.

22 Throughout the proposal there
23 are numerous terms critical to an understanding
24 of the listing procedure that are not adequately
25 defined.

2-b

1 The very broad spectrum of
2 interpretation is possible for many of these
3 terms. Such terms, as "significant risk to
4 public health," "ample margin of safety," and
5 "unreasonable risk" need more definition. While
6 such terms are discussed conceptually, the
7 specific application of these conceptual phrases
8 is left to interpretation.

9 A policy so critical to the
10 interests of the public health should not be
11 left to subjective interpretation.

12 The term "unreasonable residual
13 risk" can only be interpreted on a subjective
14 basis in the present proposal. Describing an
15 unreasonable residual risk as a function of
16 protection with an ample margin of safety compounds
17 the ambiguity. To understand what constitutes a
18 reasonable versus an unreasonable risk requires
19 more guidance than is provided in the proposal.

20 The term "sufficient quality" is
21 used by EPA to justify reliance on only one
22 positive animal study without a second "positive"
23 study in a different species. EPA states that
24 when the original study is of "sufficient quality"
25 an assessment of carcinogenesis can rely on that

1 one study. The undefined use of this term makes
2 this EPA policy statement extremely ambiguous.
3 No criteria are advanced to define what constitutes
4 a study of sufficient quality.

5 Where critical terminology is so
6 vaguely defined, there is a real danger that the
7 best interests of the public health may not be
8 adequately protected from potentially ill-advised
9 subjective interpretations in the future.

10 Section 112 listing requirements
11 basically hinge on two criteria. These criteria
12 are: one, whether a substance appears to
13 demonstrate that it is a high-probability human
14 carcinogen and, two, whether there is evidence of
15 significant public exposure via the ambient air
16 from emissions.

17 We find these criteria for
18 listing are unclear and incomplete. The
19 probability-determination -- high, moderate or
20 low probability -- fails to require adequate
21 analytic interpretation of animal and human data.

22 The proposal also refuses to
23 consider negative finds, i.e., data rejecting
24 carcinogenic activity. It is strongly implied
25 that any one positive result must prevail over a

4-b

1 spectrum of negative findings. As a matter of
2 scientific inquiry, this viewpoint is unacceptable.

3 It is not appropriate to use a
4 single positive response from an animal bioassay
5 to always override the negative results from
6 numerous other studies having an equal or superior
7 degree of reliability.

8 Limitations and conclusions must
9 apply to any study, be it negative or positive.
10 Just as any negative conclusion must be defensible
11 and reliable, so should any positive conclusion
12 be properly interpreted and have adequate
13 documentation.

14 A reasonable and prudent
15 scientist has no difficulty in recognizing that
16 in general, a "yes" statement has the same value
17 as a "no" statement. Certainly a mechanism must
18 exist to evaluate the quality and adequacy of
19 study design.

20 The value of animal experiments
21 and human studies can vary tremendously according
22 to the quality of the experimental protocol and
23 the adequacy and reliability of the data --

24 CHAIRMAN PADGETT:

25 Excuse me a second.

-b 1 If there's a way that you can
2 summarize some of your statement, if you would
3 do that, it would give us more time --

4 MR. LACHTMAN:

5 Surely.

6 The other variable for the
7 listing procedure is evidence of significant
8 public exposure. The problem here is one of
9 terms.

10 Exactly what determines whether
11 a significant or nearly significant or barely
12 significant exposure occurs? The criteria for
13 what constitutes the number of people exposed and
14 at what levels needs elucidation.

15 It is unreasonable for EPA to
16 expect people of divergent views to readily agree
17 upon this vague term. This lack of definition
18 has a propensity to deter efforts to protect the
19 public health.

20 It appears that EPA in the
21 listing requirements is relving in part on the
22 draft IRLG criteria. The IRLG draft document
23 does not take a well-balanced approach to
24 evaluating animal data in determining
25 carcinogenicity. The IRLG screening method would

6-b

1 be more effective if replication of animal data
2 played a more important role in determining
3 carcinogenicity.

4 While the IRLG report states,
5 "The methods used for regulatory purposes in making
6 a qualitative determination that a substance poses
7 a carcinogenic hazard to humans are based on a
8 substantial scientific concensus that has emerged
9 from experience, research, debate and review,"
10 there is no indication of the false-negative and
11 false-positive rates implied by the proposed
12 screen.

13 They do introduce a note of
14 caution on false-positives. However, the National
15 Cancer Institute researchers go much further in
16 their statement, and I think it is important to
17 read this:

18 "There is danger in relying
19 solely upon statistical significance
20 without incorporating biological
21 knowledge and corroborative evidence
22 such as the presence of a dose-
23 response relationship or experimentally
24 consistent results in different
25 species or sexes."

-b 1 The problem with the IRLG
2 screen is that the quality of data and statistical
3 methodologies consistent with biological
4 principles are not adequately considered. As
5 reported in a critique by the Engine Manufacturers
6 Association, EMA, to the IRLG work group -- by
7 using a study design similar to that recommended
8 by the IRLG -- the probability of labeling a
9 harmless substance as a carcinogen can be
10 staggeringly large.

11 Further, for example, in using
12 ten animal studies, like the one used in the IRLG
13 screen, it was determined that of every thousand
14 harmless substances tested only two will escape
15 the carcinogenetic label. What this means is
16 that 998 substances of 1,000 tested that do not
17 cause cancer would be falsely labeled. These
18 substances would be banned or saddled with a false
19 label and subsequent unnecessary regulation.

20 While it is beyond the scope of
21 this present testimony to go through EMA's
22 mathematical derivations, we would be happy to
23 supply them in the future and we are attempting
24 to amend them in a more standardized form. But
25 it is -- we will get back to that later.

8-b

1 But statistical errors and
2 probabilities of this magnitude clearly
3 overestimate the risk of cancer and appear to
4 be disproportionately exaggerated.

5 False-positive and false-negative
6 rates for any hypothetical screen can and should
7 be examined in detail for a variety of hypothetical
8 spontaneous tumor rates and carcinogenic effects.
9 The optimal strategy should have both the false-
10 positive and false-negative rates at reasonable
11 low levels.

12 The bioassay procedures and
13 screens relied upon in the EPA airborne
14 carcinogen listing proposal do neither of these.
15 Generally speaking, the cancer assessment listing
16 methodology oversimplifies what is a very complex
17 situation.

18 Ergo, the state of the art in
19 cancer-identification is far more complex and far
20 more unknown than I think is stated.

21 Another problem with the general
22 criteria for listing of carcinogens is the
23 failure to adequately consider potency. The two
24 criteria -- carcinogen probability and significant
25 human exposure -- contemplate prioritizing by the

9-b

1 Agency so that the most important substance
2 from a public health standpoint are regulated first.

3 Potency should be an equally
4 important consideration in setting priorities for
5 listing. Accordingly, it is inappropriate to place
6 substances which may vary as much as a millionfold
7 in potency in the same category.

8 No consideration has been given
9 to either the relative or absolute potency of
10 carcinogens. This is surprising. It is widely
11 recognized that chemical carcinogens exhibit a
12 wide range of potency in laboratory animals. For
13 example, using rodents, it has been estimated
14 there is a millionfold difference in potency
15 between aflatoxin B1, one of the most potent
16 carcinogens known, and saccharine, one of the
17 weakest.

18 A rational balance should be
19 attained between the risk and benefits in the
20 regulation of carcinogens. It is vital to develop
21 some scheme to estimate potency.

22 In terms of the mechanism for
23 correcting listing procedures, by erroneously
24 identifying substances as carcinogens, there is a
25 potential danger to public health. Many important

10-b

1 and useful substances could be replaced by more
2 harmful materials. Valuable drugs or chemicals
3 could be discontinued on the basis of flimsy or
4 unsound data. In cases where substances are of
5 vital importance and substitutes are not likely
6 to be as efficacious, it is necessary that a
7 mechanism exist for correcting improper listing
8 actions.

9 The results of one poorly
10 designed animal study should not determine that
11 a non-carcinogenic substance will be listed and
12 regulated as a carcinogen.

13 There is no means identified in
14 the EPA airborne carcinogen listing procedure for
15 removal of an improperly listed substance. This
16 is a major problem. A means must be devised to
17 rectify this inadequacy. One suggestion is for the
18 scientific review panel that you've heard so much
19 about -- independent. I think another course
20 would be to open up the criteria for listing.

21 In addition to the scientific
22 flaws in the proposed listing process, there are
23 significant procedural problems we perceive.
24 Essentially, there is a complete absence of public
25 participation in the listing of a substance as a

-b

1 hazardous air pollutant under Section 112 of the
2 Clear Air Act.

3 I'm happy to see that this
4 apparently is not the intent. As we've stated
5 before, it is the language. But it is not the
6 intent that we wanted.

7 The only public involvement
8 prior to listing is the totally passive one of
9 being "notified" of EPA's preliminary screening
10 process and determinations of carcinogenicity.
11 Such a lack of direct public participation prior
12 to listing is contrary to Executive Order 12044
13 which requires that opportunities be provided for
14 early public participation and comment. Further,
15 EPA's proposed process violates the requirement of
16 Section 117(c) of the Clean Air Act that EPA
17 actively consult with appropriate advisory
18 committees, independent experts and federal
19 departments and agencies prior to such a listing.

20 Limited participation to the
21 post-listing establishment of generic standards
22 or final emission standards is too little, too
23 late.

24 Public participation prior to
25 listing is especially critical because the proposed

12-b

1 EPA scheme does not appear to allow a substance
2 to be unlisted.

3 Nowhere in the discussion of
4 subsequent proceedings, such as setting generic
5 standards or final emission standards, is there
6 recognition that regulation of the listed pollutant
7 may not be appropriate.

8 This is particularly clear in
9 EPA's statement that quantitative risk assessment
10 will only be used for setting priorities or
11 setting the degree of control necessary for a
12 particular source category.

13 Every phase of EPA's process
14 after listing involves decisions that can only
15 lead to tighter controls. Even the five-year
16 review of standards is limited to tightening
17 controls. We sincerely hope that the lack of any
18 procedure to remove a pollutant from the Section
19 112 list is an oversight. The absence of such a
20 procedure in the present proposal is contrary to
21 the authority provided in Section 112.

22 The procedures should allow
23 relaxation of standards based on new or different--
24 or information which is preceived to change.

25 And I think that's the basic

13-b 1 tenet in science. This is basically not true if
2 it does change.

3 And, also, as I said before,
4 we don't share EPA's view that there are
5 "...limited direct consequences of listing..."
6 procedure.

7 And in terms of the use of
8 quantitative-risk assessment under 112, we feel
9 that, rather than being used in the prioritization
10 sense, that that is really only being used or
11 that that's akin to being used to tightening a
12 noose rather than an open scientific process.

13 So we feel that there's a
14 maximum -- there's a very limited -- finite amount
15 of resources. And in order to prioritize things,
16 it'd be helpful to have quantitative process
17 available in the listing procedures, you know,
18 rather than listing thousands of things initially.

19 I think the first things
20 listed should perhaps be the most important.

21 And I think that's all.

22 CHAIRMAN PADGETT:

23 Thank you.

24 Mr. Kellam?

25 Perhaps I can just ask the

1 second part of my question which is, in view of
2 the fact that there may be significant potentiation
3 of cancer incidence for people who both smoke
4 and are exposed to environmental agents, do you
5 feel that it is appropriate to exclude the lung
6 cancer incidence that you would normally attribute
7 to smoking in reaching a conclusion that
8 perhaps the overall rates of cancer are decreasing
9 and, therefore, such things as air pollution may
10 not play a significant role?

11 MR. LACHTMAN:

12 I think if one is looking
13 at a significant role and stepping back away from
14 EPA for a moment, if one were in a position of
15 omnipotence, if you look at the problem, you
16 certainly would eliminate cigarette-smoking,
17 because that is the proven cause and there may
18 be synergism.

19 I think that air pollution
20 still remains a problem. If you see that
21 synergism, I think that is clear that there is
22 still a problem there.

23 But I think that we have a
24 situation of priorities here, and I hate to see
25 something so simple as reduction in tobacco is

1 overlooked at the expense of something that is
2 far more difficult to control. I think they both
3 should be controlled.

4 MR. KELLAM:

5 Would you make any distinction
6 between what some people would consider the
7 voluntary risk of smoking versus the involuntary
8 risk of exposure to environmental or ambient
9 environmental substances?

10 MR. LACHTMAN:

11 Yes. Well --

12 MR. KELLAM:

13 Exclude the passive -- I
14 am well aware it's not really a voluntary risk.

15 MR. LACHTMAN:

16 Yes. I would make a distinc-
17 tion.

18 MR. BAUMAN:

19 Mr. Lachtman, I would like
20 to ask a question or go to a little bit -- might
21 comment on your testimony, I guess, having to do
22 with the comment that you made regarding public
23 participation while I realize that you have
24 summarize your comments, there are more intensive
25 remarks in your prepared testimony, however you did

1 say that on page 8:

2 "Essentially, there
3 is a complete absence
4 of any public partici-
5 pation in the listing
6 of a substance as a
7 hazardous air pollutant
8 under Section 112 of the
9 Clean Air Act. The
10 only public involvement
11 prior to listing is the
12 totally passive one of
13 being, quote, "notified,"
14 unquote, of EPA's
15 preliminary screening
16 process and
17 determinations of
18 carcinogenicity."

19 My comment would be that
20 I think you quit reading a little too soon. The
21 statement in the Federal Register notice goes
22 on to say:

23 "This notification will
24 serve to advise the public
25 [unintelligible & inarticulate]

1 the local agencies and
2 industry of potential
3 hazards associated with
4 the substances examined
5 will indicate which
6 substances are receiving
7 further attention --"

8 and I'd like to emphasize the next clause --

9 "-- and will request the
10 involvement of interested
11 parties --"

12 MR. LACHTMAN:

13 Is that notification prior
14 to listing?

15 MR. BAUMAN:

16 Of course.

17 MR. LACHTMAN:

18 I'm pleased to hear that,
19 and perhaps I did over look it.

20 I'm not sure of the context
21 of that completely. But I think, you know, if
22 that's mandated, I think there is a problem if
23 you propose something be listed, you know, there
24 are some psychological and other problems associated
25 with that if that's done in a cavalier fashion.

1 I don't suggest that would
2 occur, but it certainly is a possibility. And
3 I guess then that was the point I was trying to
4 make.

5 MR. BAUMAN:

6 Thank you.

7 MR. JOSEPH:

8 I would just like to ask you
9 two or three questions.

10 Two clarifications. I'd
11 like to confirm for you that this proposal is not
12 intended to override your Section 117 of the
13 Clean Air Act under which we consult with EPA's
14 Science Advisory Board before listing a substance.

15 I think we've mentioned
16 earlier this morning. And it's not intended to
17 override the provision of Section 112 itself
18 under which a substance is removed from the list
19 of hazardous air pollutants after a public hearing
20 after post-regulations and certain showings are
21 made.

22 My question is: You
23 suggested that rather than listing some very
24 large number of chemicals and then trying to
25 sort out what we should do, we ought to proceed

1 to list just the most important substances first
2 and work on them.

3 I take it that you agree that
4 there are some substances, at least, then, which
5 we may find pose some risk of increased cancer
6 incidence?

7 MR. LACHTMAN:

8 Yeah. I'd be surprised
9 if there weren't.

10 MR. JOSEPH:

11 If --

12 MR. LACHTMAN:

13 I mean, presumably, if you
14 found nothing, then I don't know what we're all
15 doing here.

16 I think that's an important
17 problem.

18 MR. JOSEPH:

19 Thank you.

20 MR. LACHTMAN:

21 But in terms of your comment,
22 I do respect the EPA Science Advisory Board. But
23 I don't think that they alone have a responsibility
24 of representing the entire scientific community.

25 I think there are pockets of

1 intelligence in the scientific community that are
2 outside the Scientific Advisory Board that perhaps
3 ought to be solicited more often. They are on
4 all sides of the spectrum, and people that don't
5 have an opportunity.

6 And we're making our comments
7 to get the processes broadly as open as possible
8 and I feel that the people I have talked to on
9 occasion from the Scientific Advisory Board would
10 concur with that to have as much opportunity as
11 possible, because the area of carcinogen
12 estimation, treating cancer, is in such a state
13 of the Dark Ages that I think to limit, you know,
14 this course in conversation and exchange of ideas
15 is a mistake.

16 MR. JOSEPH:

17 I think as Mr. Bauman indicate
18 to you, the intent is not to limit it, but to
19 solicit it.

20 MR. LACHTMAN:

21 I'm glad to hear that.

22 MR. BAUMAN:

23 Are you aware of the fact
24 that the Scientific Advisory Board meetings are
25 open meetings, open public meetings?

1 MR. LACTHMAN:

2 Yes, I am.

3 MS. ANDERSON:

4 I am concerned about the rather
5 extreme view taken regarding the language in the
6 proposal about the significance of one-animal
7 test and perhaps it is out fault but that seems
8 to be one of the thrusts in your testimony.

9 The Agency does have a track
10 record here for a little over three and a half
11 years now the Agency has been conducting scientific
12 risk assessments. There are quite a few documents
13 that have been made public.

14 I don't think in reviewing
15 this history you will find any evidence of a
16 single decision where a snap decision has turned
17 on one study without regards to all the evidence
18 in considering the significance of the study,
19 particularly where the response is borderline.

20 This one example comes to
21 mind and it was a pesticide where a decision was
22 made by the program office not to regard it as
23 a carcinogen based on the scientific risk assessment
24 where there were two slight blips in two studies
25 in an array of about a dozen and these two slight

1 blips were based on one pathologist's review of
2 the slides and in that case the data taken in the
3 aggregate was regarded as not evidence (sic) of
4 carcinogenicity.

5 So I turn your attention to
6 the track record of the Agency.

7 Nonetheless, I wonder how
8 you would feel -- are you saying that you think
9 we should rule out the importance of viewing one
10 positive result from a bioassay when you look
11 at cases such as aflatoxin where the rat was
12 positive, the mouse negative, the response to
13 the well-known carcinogen bischloromethylether
14 and now we're looking at results similar to this
15 where in fact the site of action is the same as
16 with bischloromethylether and that is the current
17 studies underway on formaldehyde, are you saying
18 that in no case -- understand your extremes on
19 the one hand, but now getting to the other hand,
20 are you indicating that you think there's -- there
21 might not be cases where the significance of one
22 positive animal-bioassay study would not be com-
23 pelling evidence for the Administrator's considera-
24 tion under this policy? (sic)

25

1 MR. LACHTMAN:

2 Oh, I think it certainly
3 could be and I wasn't saying -- wouldn't rule
4 out that case.

5 I'm saying that as I read it
6 and I think maybe my testimony wasn't clear, but
7 as I read the EPA policy, it appears that it has
8 to be.

9 And what I'm saying is that
10 I think in certain situations a positive study
11 that's well-executed and, you know, would be
12 considered by, you know, the state-of-the-art
13 scientists, you know, whatever, you know, to
14 be reasonably well conducted, you know, is an
15 appropriate, you know, area of concern.

16 I think it should try -- they
17 should try to replicate -- I know with the
18 ANNO-2 (phonetic) data, you know, in Germany,
19 there's a lot of problems trying to replicate
20 some of those data. We've been over there and,
21 you know, we've found some very interesting
22 reasons for why you can't replicate it. And we
23 don't suspect you ever will.

24 But getting back to the point,
25 you know, I think that you have to consider those

1 things, because, you know, in the rhetoric, the
2 way it reads, if you limit yourself to the language
3 I think that it is very important to change that
4 language and also to give some sort of guidance
5 on whether it a high- or a low-quality study.
6 I think there have been some attempts to do that.

7 But if you define it a little
8 bit further, I think we will all benefit.

9 MS. ANDERSON:

10 The IRLG document certainly
11 attempted to do that.

12 MR. LACHTMAN:

13 I reviewed that document
14 rather extensively, and I think the data were
15 actually -- we are more guilty of not doing that
16 than your proposal.

17 The point I'm trying to make
18 is that you often hear this statement, that there
19 is "no free lunch." Protecting the public from
20 carcinogens, from a public-health point of view,
21 the best thing to do is to eliminate the exposure;
22 absolutely. To just shut everything down. Just
23 keep that narrow focus in regards to your objective

24 But perhaps there may be side-
25 effects of that, like, you know, perhaps

1 substitutes --

2 [Extremely loud laughter
3 from the audience drowned out
4 several of Mr. Lachtman's words.]

5 MR. LACHTMAN:

6 -- perhaps people couldn't
7 eat. You know, unfortunately, we don't have the
8 luxury of being able to do that.

9 And so when you have a policy
10 that I have referred to as being overly pessimis-
11 tic and you make the Class-I-type error or you're
12 overestimating the occurrence of something, you
13 have no assurance in terms of a substitute that
14 the agent you're replacing is going to be
15 more efficacious -- or in fact it could be
16 a real danger to public health because it may
17 be more harmful.

18 And I think, you know, we have
19 seen this -- don't quote me on this -- but --

20 [Again, a burst of extremely
21 loud laughter from the audience
22 obliterated several words.]

23 MR. LACHTMAN:

24 -- something such as DDT, you
25 know, that was not a -- that was an environmental

1 problem in terms of human exposure in the
2 organophosphates.

3 Certainly, there was some
4 problems to humans that occurred with OP's that
5 were never seen with DDT.

6 And I would suggest that
7 there's a misapplication, there's an overreaction
8 here. And I don't want to get some people in
9 the petroleum industry upset with that. But I
10 think that's a concrete example of where, you know,
11 there's an abuse on one side, you jump the gun
12 to the other extreme, you have more problems.

13 CHAIRMAN PADGETT:

14 Okay. Thank you very much.

15 The next one -- speaker
16 scheduled is Ivan Smith. And then there's one
17 other person who asked to speak before lunch.

18 Now, the question I have is --
19 Is Mr. Smith in the audience?

20 MR. SMITH:

21 Yes.

22 CHAIRMAN PADGETT:

23 Raise your hand.

24 Do you have any problem with
25 speaking after lunch or do you have --

1 MR. SMITH:

2 Whatever you prefer. It will
3 be less than ten minutes.

4 CHAIRMAN PADGETT:

5 Okay.

6 I suggest, then, that we
7 take Mr. Smith and then Mr. Dillard, who is
8 substituting for Mr. Hutton, who also has a
9 short statement. So I suggest that we take those
10 two statements before lunch; and then we will break.

11 MR. L. L. KROHN:

12 I was scheduled to -- In order
13 to make travel arrangements, I request to speak
14 before lunch also.

15 I was advised that you were
16 going to go through lunch.

17 CHAIRMAN PADGETT:

18 This is much more interesting
19 than eating.

20 Do you have any problem with
21 speaking right after lunch? It will be one
22 hour right after we break.

23 I think Mr. Dillard will
24 be first and then we will take Mr. Krohn.

25 Is R. G. Dillard in the

1 audience?

2 (There was no response.)

3 Mr. Krohn? Sir?

4 [Some collateral, unrelated
5 conversation ensued off the record.]

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

STATEMENT OF R. G. DILLARD

MR. DILLARD:

Mr. Examiner, ladies and gentlemen, my name is R. G. Dillard and I am appearing on behalf of the Texas Chemical Council.

The Council is an association of 83 chemical companies and indirectly affects 420,000 Texans employed in the chemical industry.

Over half of the nation's petrochemicals are produced by member companies operating in Texas. The Council has a long history of cooperation with the State and Federal agencies in the furtherance of responsible environmental legislation and regulations. We appreciate this opportunity to comment on EPA's Airborne Carcinogen Regulations and Proposed Generic Standards.

First, the basic premise of the EPA's Airborne Cancer Policy needs to be examined. An often-quoted estimate used by the EPA is that 60 to 90 percent of all human cancers may be due to "envirionmental factors." It should be emphasized that the term "environmental" is being applied in a very broad sense. This broad definition includes:

15-b

1 "Chemical exposures from
2 smoking, diet, occupation, drinking
3 water, and air pollution; various
4 forms of radiation, including
5 sunlight; and some forms of
6 physical irritation."

7 The use of these "environmental
8 factors" in pointing to a cause of cancer, does
9 not suggest any direct relationship between cancer
10 and air pollution, and in fact, is not far from
11 the erroneous view that everything causes cancer.

12 According to the American
13 Industrial Hygiene Council, the evidence the EPA
14 cites to link cancer to industrial air pollution
15 consists of "recent findings suggesting that a
16 large number of airborne chemicals and
17 radionuclides to which people are exposed may be
18 implicated in cancer and other diseases related
19 to genetic damage."

20 From this quotation, the EPA
21 infers that the ambient air is filled with
22 chemicals causing serious harm to human health.
23 A more accurate conclusion would be that some
24 substances which may be carcinogens at high-dose
25 levels are found only in trace concentrations

16-b 1 in the atmosphere.

2 The primary argument presented
3 by the EPA in justification of the Airborne
4 Carcinogen Policy rests on the Agency statement
5 that cancer rates are increasing due primarily
6 to increases in lung cancer, and that lung cancer
7 is thought to be the principle form of cancer
8 related to air pollution.

9 It is on this basis that the EPA
10 feels that the standard is necessary to protect
11 the public health. The fact is, as shown by an
12 article in the Scientific American by Cairns and
13 cited by the EPA, the lung cancer rate is
14 increasing only in women. Further, the 1979
15 Surgeon General's Report on Smoking and Health,
16 also cited by the EPA, states:

17 "Increases in lung cancer
18 mortality among females cannot
19 be explained by exposure to
20 Occupational Carcinogens.
21 Increases in cigarette consumption
22 are responsible for these trends."

23 Thus, by citing this report,
24 the EPA itself has indicated that cigarette
25 smoking, not air pollutants, is responsible for

17-b

1 the increase in lung cancer mortality rates in
2 women.

3 The TCC is supportive of
4 meaningful regulations which have a clear
5 demonstrative need. The study cited by the EPA
6 shows only that:

7 1. Cancer is a serious health
8 problem in our society.

9 2. Various substances inducing
10 carcinogenic effects at high dose
11 levels can be found in trace
12 concentrations in the ambient air.

13 The evidence does not establish
14 that exposure to the levels of substances which
15 are found in the ambient air either cause or
16 contribute to cancer. In addition, the EPA fails
17 to show that industrial emissions are the source
18 of these trace substances. On the basis of
19 these observations, the TCC feels that the EPA
20 has not justified a crash program -- and I
21 emphasize that -- has not justified a crash program
22 to solve a problem for which there is a
23 questionable need and an undemonstrated urgency.
24 In fact, hasty adoption of the EPA's Proposed
25 Airborne Carcinogen Policy could dilute ongoing

18-b

1 efforts for controlling the carcinogens through
2 established regulations.

3 Once again, the TCC is supportive
4 of meaningful regulations which have a clear
5 demonstrated need. The generic standards proposed
6 on October 10 failed to show a demonstrated need,
7 and they are redundant with existing regulations.

8 Fugitive emissions, such as those
9 the EPA proposes to control under the generic
10 standards, include ground-level emissions already
11 regulated by the Occupational Safety and Health
12 Administration, OSHA, requirements. OSHA has
13 adopted workplace performance standards for many
14 of the chemicals that the EPA may ultimately
15 regulate.

16 The EPA would be duplicating
17 OSHA's existing regulatory efforts in adopting
18 the proposed generic standards, and would impose
19 detailed work practice standards in addition to
20 those required by OSHA's existing performance
21 standards.

22 If OSHA's performance standards
23 are inadequate, or not being enforced, the answer
24 is not for the EPA to establish its own regulatory
25 scheme, but for OSHA to either more vigorously

19-b

1 enforce its standards, or to change them. The
2 addition of the EPA Fugitive Emission Controls,
3 as proposed on October 10, would appear to give
4 little or no added benefit, in terms of reducing
5 harm to the public.

6 Pursuant to Section 110 and 172
7 of the Clean Air Act, the EPA expressly requires
8 all State Implementation Plans to adopt Control
9 Technique Guidelines within one year of
10 promulgation. The Proposed Control Technique
11 Guidelines will allow more control of fugitive
12 sources than the Generic Standards.

13 The Texas Air Control Board
14 regulations, which are a part of the State
15 Implementation Plan specifically control source
16 emissions of volatile organic carbon compounds.
17 Regulations V and VI of the Texas Air Control
18 Board set minimum standards for existing sources
19 and require any new construction or modifications
20 to control emissions well beyond the proposed
21 controls of the Generic Standards.

22 The Toxic Substances Control
23 Act evaluates and prioritizes the effects of
24 chemicals produced. These regulations zero in on
25 the most harmful chemical substances and direct

)-b 1 efforts towards their control.

2 The National Emission Standards
3 for Hazardous Air Pollutants already regulate
4 and control carcinogens, such as vinyl chloride
5 monomer.

6 Consequently, the means of
7 controlling airborne carcinogens through OSHA,
8 State Implementation Plans, the Toxic Substances
9 Control Act, and the National Standards for
10 Hazardous Air Pollutants are already in existence.

11 These efforts should not be
12 diluted by additional general standards, such
13 as those proposed by the EPA on October 10. If
14 allowed to stand, these Proposed Regulations
15 would result in a needless duplication of efforts,
16 one of the very practices that Administrator
17 Costle and President Carter have most often spoken
18 out against.

19 The Texas Chemical Council feels
20 that the EPA's efforts would be much more effective
21 by taking steps towards enforcement of the
22 existing standards, rather than seeking a general
23 and diluted approach to a problem to which no
24 demonstrated need has been shown.

25 Finally, we feel that the legal

21-b

1 basis for adoption of a generic standard under
2 Section 112 has a questionable origin. It seems
3 to be clear that the EPA views the Generic
4 Standards as interim measures to be adopted as
5 part of the phased program of emissions controls.
6 The Texas Chemical Council feels that this phased
7 approach is at odds with the approach of Section
8 112.

9 The language of Section 112
10 clearly shows that Congress authorized the
11 promulgation of only a single emission standard
12 or, in exceptional cases, a design standard.
13 There is no indication in Section 112 or its
14 legislative history that Congress intended the
15 Administrator to adopt a multi-step approach as
16 proposed in the October 10 Federal Register.

17 Furthermore, Section 112 speaks
18 in terms of setting an emission standard for
19 such "pollutant," for a "hazardous air pollutant"
20 and for such "hazardous air pollutants." It is not
21 apparent that a Section 112 emission standard can
22 or should cover a class of pollutants.

23 To avoid this legal problem, the
24 Agency plans to propose the Generic Standards for
25 a particular substance, when it is listed under

-b

1 Section 112, possibly with some customizing of the
2 standards. Such customizing, however, apparently
3 will be limited significantly in that only unique
4 and unusual situations will be a basis for
5 modifying the Generic Standards.

6 Consequently, we believe that the
7 Agency's scheme will apply the Generic Standards
8 to a number of substances and, thus, it effectively
9 becomes a generic standard which is not authorized
10 by Section 112.

11 In addition, the TCC believes
12 that the EPA misuses Section 112(e)(1) as a basis
13 for the Generic Standards. This section provides
14 that where the Administrator determines that it
15 is not feasible to prescribe or enforce a numerical
16 emission standard, a design standard may be
17 promulgated.

18 Section 112 (e)(2) provides
19 that, for the purposes of Section 112(e)(1), "the
20 phrase 'not feasible to prescribe or enforce an
21 emission standard' means any situation in which
22 the Administrator determines that (A) a hazardous
23 air pollutant or pollutants cannot be emitted
24 through a conveyance designed and constructed to
25 emit or capture such pollutant, or that any

23-b 1 requirement for, or use of, such a conveyance
2 would be inconsistent with any Federal, State or
3 local law; or, (b) the application of a
4 measurement methodology to a particular class of
5 sources is not practicable due to technological
6 or economic limitations."

7 The use of design standards is
8 strictly limited to those situations which are
9 enumerated in Section 112(e)(2). The Texas Chemical
10 Council submits that there is no showing or
11 suggestion in the Federal Register documents that
12 carcinogenic substances, as a class, fall within
13 the exceptions enumerated in Section 112(e), or
14 that the Administrator will make the necessary
15 findings before applying the Generic Standards to
16 a particular substance. Absent the required
17 judgment of "infeasibility", the proposed
18 standards would not be authorized under Section 112

19 The proposed rule's framework
20 for listing also does not conform to the
21 substantive and procedural requirements of Section
22 112. Based on its inaccurate estimation of the
23 consequences of Section 112 listings, the Agency
24 proposes to list substances without thoroughly
25 evaluating all relevant scientific evidence to

4-b

1 determine if a significant public-health risk
2 exists.

3 Rather than conducting the
4 quantitative risk assessments and exposure
5 analyses needed to make this determination, the
6 Agency intends to sidestep them. Instead of
7 addressing head-on the complex economic and
8 energy issues posed by the threshold decision to
9 regulate, EPA defers their consideration.

10 Under the Proposed Rule, the
11 Agency runs the risk of promulgating costly
12 regulations only to find out later, after
13 conducting appropriate studies, that regulatory
14 action was not appropriate in the first place.
15 This approach is inconsistent with the language
16 of Section 112, the legislative history of the
17 Clean Air Act, recent case law, and fundamental
18 policy considerations.

19 Finally, the Agency fails to show
20 that the Generic Standards satisfy the ample
21 margin of safety requirement of Section 112(e)(1).
22 The Generic Standard scheme suggests that in most
23 instances the Agency intends to impose additional
24 standards to provide an ample margin of safety.
25 If the imposition of additional controls is

25-B

1 required to provide an ample margin of safety,
2 then the initial controls, the proposed Generic
3 Standards, would not provide such a margin.

4 In summary, the Texas Chemical
5 Council feels that the Proposed Generic Standards
6 have shown little basis of need and no basis
7 for urgency, that the Proposed Standards would be
8 redundant with the existing regulations controlling
9 hazardous air pollutants, and have a questionable
10 basis of law under Section 112 of the Clear Air
11 Act.

12 Additional regulations would
13 divert time and economic resources away from the
14 existing programs resulting in dilution of
15 enforcement of meaningful regulations, which would
16 in turn, be to the detriment of the public interest
17 in developing an effective cancer regulation
18 program.

19 We appreciate y'all giving us
20 the opportunity to come forward.

21 CHAIRMAN PADGETT:

22 Any questions?
23
24
25

1 MR. PATRICK:

2 One clarification, in your
3 discussion of Section 112(e), where it discusses,
4 of course, the requirements for being able to
5 apply work practice standards, your statement that
6 we could not use work-practice standards under this
7 part of the Act, and I'm am just uncertain whether
8 your reason is that you don't believe or that
9 you believe that these emissions could be controlled
10 and/or measured, which seems to be the major reason
11 in Section 112(e), that allow you to go to work
12 practices or --

13 I'm not sure which one of
14 those two are you really banking your conclusion
15 on?

16 MR. DILLARD:

17 I would like for you to restate
18 that because the first part of your question is a
19 little vague to me.

20 MR. PATRICK:

21 Your discussion on page 5
22 of Section 112(e) --

23 MR. DILLARD:

24 Yes?

25

1 MR. PATRICK:

2 -- that section says basically
3 that where it is not feasible to prescribe or
4 enforce numerical standards, that design standards
5 may be promulgated.

6 And then you give a couple
7 of criteria for determining whether we can go
8 that way or not.

9 I guess my question is: Are
10 you saying that the emissions are not significant
11 and that is why we can't use the work practices?
12 Or are you saying that those criteria aren't met,
13 that you can, in fact, control those emissions
14 and measure those emissions -- which, really, are
15 the two criteria?

16 MR. DILLARD:

17 I think your question about
18 being able to measure -- I think the key question
19 here is that, having done so, is it still something
20 that should be controlled if it is not a data
21 basis, if it is something that needs to be
22 controlled?

23 MR. PATRICK:

24 So it is really significant?
25

1 MR. DILLARD:

2 Yes.

3 MR. PATRICK:

4 That was just really for
5 my clarification.

6 Thank you.

7 CHAIRMAN PADGETT:

8 Thank you.

9 Mr. Krohn?

10 MR. KROHN:

11 My name's Les Krohn. I'm
12 Manager of Environmental Control for the Marketing,
13 Refining and Transportation segment of the Union
14 Oil Company.

26-b

STATEMENT OF L. L. KROHN

MR. KROHN:

Union directs its remarks to the draft generic technical standards relative to hydrocarbon exposure and leaks from refinery, pipeline and terminal facilities. We would refer you to the comments of the American Petroleum Institute on EPA's proposed policy and procedures for identifying, assessing and regulating airborne substances posing a risk of cancer. We support those comments.

With respect to the draft, generic technical standards, the proposed standards parallel the Control Techniques Guidelines, the CTG's, concerning leaks.

Union has participated extensively with the API in developing these CTG's and worked with the Radian Corporation in developing a data base relative to refinery valve and flange leakage.

The EPA is currently proposing generic standards which are more stringent than those described in the CTG's and our experience indicates this additional control is not warranted.

Union would point out that many of our facilities are located in remote areas

27-b 1 where exposure is at a minimum, far below any
r 2 risk assessment currently under consideration.

3 Also many of our crudes and
4 products contain minimal quantities of material
5 thought to have carcinogenic potential. Sweeping
6 regulations as proposed would include light oil
7 terminals and pipeline facilities as well as
8 refineries.

9 EPA is proposing a policy for
10 the identification, assessment and regulation
11 of airborne carcinogens. In that assessment, EPA
12 must consider, possibly on a case-by-case basis,
13 the impact of its regulations where actual
14 exposure is well below any carcinogenic potential.

15 Union provides the following
16 comments where we feel EPA is going beyond the
17 established CTG in considering a more stringent
18 standard.

19 With regard to the minimum
20 concentration of Benzene in a hydrocarbon stream,
21 we feel that 10 percent should be the concentration
22 level to implement control procedures. This
23 , conforms to the 10 percent standards for vinyl
24 chloride.

25 A gaseous leak should be defined

28-b

1 as 10,000 parts per million volume. This is
2 consistent with the CTG encompassing a vast
3 majority of emissions. Union has gone through
4 extensive testing and has successfully demonstrated
5 that the 10,000 parts per million level is the
6 minimum concentration that demonstrates
7 reproducibility.

8 The inspection schedule as
9 described in the CTG should apply for the generic
10 standards as well. Experience with equipment at
11 our facilities has demonstrated that the duration
12 of repairs and the frequency of leakage does not
13 warrant a monthly vapor-detector inspection along
14 with a complete and detailed record of emissions
15 and leaks. The cost benefit of a program of this
16 type is very unfavorable.

17 Although a 15-day repair
18 interval is at times adequate, there are other
19 cases where specialized labor and materials are
20 necessary to complete repairs. We would suggest
21 that a 45-day period be considered or that some
22 allowance be made for extenuating circumstances.

23 With respect to safety-valve
24 discharges, most refineries, including Union Oil
25 facilities, vent their discharges to flares. Such

-b

1 discharges cannot be accurately or visibly
2 monitored; however, the majority of carcinogenic
3 substances that may be present would be destroyed
4 in the flame of the flare. Adequate technology
5 for reporting discharges from flares currently
6 does not exist. This requirement should be
7 deleted from the standards.

8 The requirement to notify the
9 EPA Regional Office at least one week in advance
10 of certain inspections, observation and monitoring
11 is unrealistic and overly restrictive. Currently,
12 operational shutdowns and start-ups at our
13 refineries are conducted with the knowledge of
14 the local APCD and this should suffice for
15 environmental control of the operation.

16 The monitoring of seals on
17 compressors and pumps is routinely and adequately
18 done by our Operating personnel. In order to
19 inspect the shaft-seal interface by instrument
20 in many cases required that the guards be removed,
21 making the operation unsafe. Some leakage for
22 lubrication purposes is required, and we feel that
23 a visual inspection is much more reasonable and
24 practical.

25 The requirements to paint tanks

30-b

1 white is completely unnecessary. Many tanks are
2 painted aluminum, a color that is comparable to
3 white. Much of Union's tankage has been painted
4 a soft pastel for aesthetic reasons and has been
5 readily accepted by the neighboring communities.
6 The tank-painting stipulation should be deleted
7 from the proposed rule.

8 The test method outlined calls
9 for reporting results as "ppm volume as hexane."
10 Test equipment can be calibrated with hexane and
11 results should be given as "ppmv calibrated to
12 hexane" as the test results cannot be accurately
13 reported as equivalent hexane.

14 Union intends the above comments
15 to be constructive and helpful in the development
16 of the generic standards.

17 Union has had over 85 years of
18 refining and pipeline experience that have
19 developed techniques for minimizing the loss of
20 fugitive emissions.

21 We are proud of our maintenance
22 procedures and proud of our housekeeping and take
23 pride in our facility operations. If you should
24 have any questions requiring further comment,
25 we'd be happy to reply.

1 CHAIRMAN PADGETT:

2 I'm sure we have a few.

3 Just a minor comment on the tank painting. What
4 is the -- What do you estimate that the white
5 versus some other color or aluminum amounts to
6 in terms of reductions of emissions?

7 Is it your opinion that --

8 MR. KROHN:

9 There's a slight difference.

10 There are some engineering standards for that.

11 There would be a slight variation.

12 CHAIRMAN PADGETT:

13 You're suggesting that it's

14 just not worth it?

15 MR. KROHN:

16 Yes. It's not worth it, really.

17 Of course, it depends in the area of products and
18 crude just what level, whether it is once percent
19 or ten percent and what level we come to and some
20 tanks have a very minimal or some products --
21 crudes -- have very minimal amounts of suspected
22 carcinogens and they may not need to be painted if
23 the level is not high enough.

24 MR. PATRICK:

25 I just had a couple of

1 clarifications and a couple of requests, I think.
2 I just wanted to make one thing, I think, clear
3 really on something that you said; and also,
4 Mr. Dillard, previously, you make reference to
5 proposed generic standards.

6 I just want to make sure that
7 you understand that this is not a proposal of
8 generic standards.

9 The generic, technical
10 standards are strictly an advance notice, not
11 intended in any way to be a proposal.

12 MR. KROHN:

13 Okay. I understand that,
14 and I want to "get my licks in now."

15 MR. PATRICK:

16 Another clarification: You
17 speak about the CTG's and I do want to make sure
18 that you understand that the distinction we see
19 between the emissions that are being controlled
20 with the CTG's, these are guideline documents
21 aimed at controlling the law of organics strictly
22 for the purpose of reducing organic emissions to
23 the -- or contribution to the photochemical-spawn
24 problem. We don't think it's necessarily to
25 consider that and hazardous-chemical emissions to

1 be equivalent and that's really some of the reasons
2 why we have looked at these a little differently.

3 You made two or three
4 statements concerning extensive testing and
5 successful demonstration of cost-benefit analysis
6 as being applied for some of the things that
7 Union has done.

8 We would certainly appreciate
9 seeing that information -- it may be in the
10 record already; I haven't looked through the complete
11 record.

12 MR. KROHN:

13 I'd be happy to submit some
14 of the work that we did at our San Francisco
15 refinery.

16 And I think we demonstrated
17 the mutagenicity is below 10,000 and we just
18 couldn't plan -- we could never reproduce the
19 same results after --

20 MR. PATRICK:

21 You understand some of
22 the problems. We would appreciate seeing that
23 data.

24 MR. KROHN:

25 I'd be happy to send it to you.

1 CHAIRMAN PADGETT:

2 Does anybody else have
3 any questions?

4 (There was no response.)

5 CHAIRMAN PADGETT:

6 Thank you.

7 MR. KROHN:

8 Thank you.

9 CHAIRMAN PADGETT:

10 Now I think it is time we
11 can eat.

12 I would like for us to report
13 back at 1:30. We will reconvene; and if Mr. Smith
14 is in the audience, we will start with him. And
15 if not, we will move on to the next speaker.

16 (Whereupon, at the hour of
17 12:29 p.m., the hearing in the
18 above-entitled matter was adjourned,
19 to reconvene at 1:40 p.m., this
20 same day, Thursday, March 13, 1980.)

21

22

23

24

25

A F T E R N O O N S E S S I O N

(1:40 p.m.)

STATEMENT OF IVAN G. SMITH

MR. SMITH:

I'm George G. Smith. I'm
Vice-President of the Lone Star Chapter of the
Sierra Club.

We welcome this regulation of
airborne carcinogens as long overdue control of
industrial toxic effluents into the air we all
breathe. The regulation of airborne carcinogens
is especially important in Texas where we have
both large concentrations of petrochemical
industries as well as a large population at risk.

We have at least eight vinyl
chloride and polyvinyl plants in the Houston area
for instance. There is real reason to view the
emissions of the Gulf Coast petrochemical complex
with alarm in the light of the elevated lung
cancer mortality reported by Mason and McKay in
their 1974 study for the National Institute of
Health.

The approach of the EPA in this
issue seems sound, but perhaps over-cautions in
concern for business economic health and less

32-b

1 aggressive than it could be toward protecting
2 the public health.

3 We agree with the concept of the
4 zero threshold for carcinogens, recognizing that
5 while zero risk and zero emissions are difficult
6 to attain, they are important goals for the
7 protection of public health. So we urge you to
8 keep this goal for all carcinogens.

9 The models chosen for estimating
10 disease resulting from exposure should indeed be
11 ones that avoid understating the risk so that you
12 make sure to err on the side of safety. We urge
13 that you take care in making quantitative risk
14 assessments since synergism of other environmental
15 factors make these imprecise.

16 The initial generic clean-up
17 requirements for listed carcinogens represent a
18 low-cost, low-technology housekeeping approach that
19 makes good sense. These controls are needed
20 especially in this area where the enormous
21 hydrocarbon emissions contribute to the small
22 particulate haze so that the respirable particulate
23 here are likely heavily laced with carcinogens.

24 These controls should be at
25 least as stringent as the ones required for ozone

3-b

1 control, including things like floating roofs
2 with double seals, better pump seals, and more
3 strict monitoring of leaks.

4 Careful scrutiny of sitings
5 suggested is important to avoid a concentration
6 of industries which would increase carcinogenesis
7 by synergism or endanger large numbers of people.

8 In the case of existing
9 industries concentrated in one area as found in
10 Houston, stricter standards need to be set because
11 of the additive effect of the multiple plants.
12 The consideration of alternative sites has been
13 all but ingnored in Texas up until now, and it is
14 time that surrounding residents be given some
15 real consideration.

16 In the question of offsets, we
17 urge you to take special care not to allow air
18 quality to deteriorate through a number game. We
19 urge you to look at the actual versus the permitted
20 emissions -- where the acutal are often less than
21 permitted, so that in the computation of the
22 offset the air would become more toxic, which has
23 happened in the past.

24 We agree with the principal of
25 using single animal studies to list carcinogens

34-b

1 for regulation. Since the first phase of
2 regulation is simply better housekeeping, these
3 requirements will be relatively inexpensive, and
4 further studies for verification can be made as
5 more effective controls are designed.

6 While some people have made light
7 of animal studies, we should be reminded that
8 people may be 60 times as sensitive as animals
9 to a toxic substance as in the case of Thalidomide.
10 So here again the EPA must lean toward the side
11 of safety in its decisions.

12 We agree that little is to be
13 gained by setting up an outside screening panel
14 for evaluating carcinogens, since industry-
15 experienced researchers and environmentally-
16 concerned researchers would quickly come to
17 loggerheads over interpretation of data. EPA
18 must make these decisions, not Industry or
19 environmental activists.

20 There are some parts of the
21 proposal which give us concern. Much is made of
22 balancing costs of controls with the benefit of
23 the substance regulated and consideration of
24 plant closures. Indeed, plants may need to be
25 closed when the hazard to human health is too

-b

1 great.

2 It appears that the EPA may be
3 overly generous in its concern for old plants
4 with granting waivers for compliance. It is too
5 difficult to assess the value of health and life,
6 and the EPA must truly protect health in these
7 decisions.

8 A re-evaluation of your priority
9 approach to carcinogenic agents that are
10 supposedly present in small quantities would be
11 in order at this time. These should be evaluated
12 carefully since they may pose a larger than
13 expected hazard because of nearby residences or
14 synergism with other pollutants.

15 In your measurements of exposure,
16 it would be well to consider people working
17 outside or exercising outside as well as the
18 susceptibility of young children. We may be
19 setting 10- or 20-year time bombs in our children
20 who live near petrochemical plants.

21 We feel the EPA should reconsider
22 the possibility of using unannounced spot checks
23 of facilities to check compliance.

24 We urge you to move as rapidly
25 as possible to control airborne carcinogens and

36-b

1 establish a list of high-priority substances to
2 be regulated without delay and to establish a
3 further list of substances to be studied on an
4 accelerated basis.

5 Thank you for this opportunity
6 to comment on these proposals. We hope for your
7 careful consideration and rapid control of
8 carcinogens in our air.

9 CHAIRMAN PADGETT:

10 Thank you.

11 MR. SMITH:

12 Thank you.

13 CHAIRMAN PADGETT:

14 Any comments?

15 (There was no response.)

16 CHAIRMAN PADGETT:

17 Thank you.

18

19

20

21

22

23

24

25

1 CHAIRMAN PADGETT:

2 G. W. Fuller? Is G. W. Fuller
3 in the audience?

4 (There was no response.)

5 A representative from the
6 Texas Air Control Board?

7 (There was no response.)

8 Okay. We'll come back to him.

9 W. L. Senn?
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

37-b

STATEMENT OF W. L. SENN

MR. SENN:

Mr. Padgett, members of the hearing panel.

I appreciate the opportunity to express my views on EPA's proposed policy on airborne carcinogens.

My name is Bill Senn and I appear before you on behalf of Exxon Chemical Company, U.S.A. in my capacity as Manager of our chemicals manufacturing operations in Baytown.

Two additional facts that may help you in evaluating my comments are, first, I am Chairman of the Environmental Health Committee of the Texas Chemical Council; and, secondly, I reside in Baytown, less than a mile from the Exxon Chemical Plant. And my family and I are directly affected by the air quality of the community in which we live.

Exxon Chemical recognizes the benefit of identification, assessment and regulation of carcinogenic risks, including any associated with industrial activities, when a valid need has been demonstrated.

In my opinion, EPA is premature

-b 1 in proposing this policy in the absence of a
2 substantiated need. To this end, I am supporting
3 and we are supporting totally the position and
4 provisions adopted by the American Industrial
5 Health Council.

6 Furthermore, we feel that
7 adequate protection of air quality is already in
8 place under other provisions of the Clean Air
9 Act and is assured by in-plant controls, based on
10 current industrial hygiene science. Nevertheless,
11 this issue that we're addressing here today should
12 be approached with caution and priorities should
13 be established to address specific problems.

14 We believe that EPA's proposed
15 policy shows an unrealistic perspective of the
16 incidence and causes of cancer and it overstates
17 considerably the potential role of airborne
18 industrial chemicals in causing cancer. The facts
19 confirmed by Government data are that the incidence
20 rate of cancer has declined somewhat since 1947
21 and without the increase in lung cancer, which is
22 attributable by most scientists to cigarette
23 smoking, the cancer mortality rate would be
24 declining. Thus, I feel the EPA is in the
25 unsupportable position of acting on the basis of

39-b

1 speculation.

2 Not only does this approach cause
3 inflationary pressure and financial disservice to
4 taxpayers, but it clouds the negative role that
5 factors such as smoking, diet and alcohol
6 consumption play in cancer causation.

7 Lest there be any doubt about
8 Exxon's commitment to clean air, clean water,
9 and the control of toxic substances, you should
10 know that Exxon has spent 2.8 billion dollars on
11 environmental conservation in the U.S. since 1965.

12 At the Baytown Chemical Plant
13 alone, we expect to spend over 50 million dollars
14 on environmental conservation over the next
15 three years.

16 In conclusion, Exxon Chemical
17 U.S.A. recognizes its responsibility for the
18 environmental impact of its operations and
19 products. We do not rely on regulations in pursuit
20 of that policy, nor do we oppose expenditures
21 when we are justified.

22 I assure you, that further, of
23 my concern for environmental conservation as
24 a private citizen residing with my family in
25 our Baytown Chemical Plant community.

-b

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Thank you, Mr. Padgett.

CHAIRMAN PADGETT:

Thank you.

Any comments?

MS. ANDERSON:

Dr. Senn, with regard to your statements on the fact that the incident rate of cancer has declined somewhat since 1847 and the mortality rate is also declining, we have heard testimony and in fact have it in writing from Dr. Marvin Seiderman (phonetic), of the National Cancer Institute, a different opinion on this, that the incident rate is increasing and has steadily been increasing where we have data to show this and also the mortality rate, when adjusted, has also been increasing.

Are you aware of these differences in opinion about these data?

MR. SENN:

Yes. My comments were based primarily on the findings or testimony of Dr. Robert Morgan, whom I think testified earlier in Washington this week.

But I am aware that there are divergent views on the interpretation of the

41-b

1 statistics.

2 CHAIRMAN PADGETT:

3 Anything else?

4 MR. JOSEPH:

5 Just one question, Dr. Senn.

6 Given the divergence of the
7 testimony in these hearings, as illustrated on
8 whether epidemiology is a sensitive-enough tool
9 to tell us whether some -- some number less than
10 epidemic proportions of cancers are being caused,
11 or are being contributed to, by air pollution,
12 emissions of carcinogenic substances, given the
13 divergence on the interpretation of what data do
14 exist, if EPA were to come to the conclusion that
15 it is impossible at this point to draw reliable
16 conclusions from the epidemiological data, what
17 would you have the Agency do?

18 MR. SENN:

19 My first step would be to have
20 the Agency embark on a very thorough study to
21 sort out and determine, on a sound scientific
22 basis what true epidemiological information
23 exists and why that is a sound scientific basis;
24 and then take your action off of that basis.

25 MR. JOSEPH:

2-b

1 What if, after evaluating all the
2 possible epidemiology on a basis that you would
3 consider sound and scientific, the conclusion
4 were that it didn't tell us the answer?

5 MR. SENN:

6 Then I think you have to step
7 back and ask them to tell you their problem.

8 MR. JOSEPH:

9 Thank you.

10 CHAIRMAN PADGETT:

11 Dr. Anderson?

12 MS. ANDERSON:

13 As a follow-up to that last
14 question, does this mean that you think the Agency
15 should then not take action under the Clean Air
16 Act until we do have positive evidence, that is,
17 I guess what is generally regarded as positive
18 evidence that people are getting cancer from
19 air pollution?

20 MR. SENN:

21 I think that is right. I think
22 that we need to determine not that they are getting
23 cancer, but that an exposure at certain levels
24 might produce cancer in those individuals.

25 I think the exposure of those

43-b

1 individuals to airborne carcinogens is separate
2 from whether those materials cause cancer in the
3 people.

4 MS. ANDERSON:

5 We've certainly heard testimony
6 on this problem. Several epidemiologists who
7 have -- all have indicated that when you start
8 to see this kind of positive evidence, that really
9 would indicate a large problem. Do you have
10 a comment on that?

11 MR. SENN:

12 No really. I have been exposed
13 to some epidemiological studies; I am not an
14 epidemiologist, per se.

15 If you're talking about the
16 long-term latent effects before you begin to
17 notice it, I think there is a big body of
18 knowledge out there right now that you could
19 devote your activity to acquiring and coming down
20 on what the specifics are and then working with
21 specific problems as they occur.

22 CHAIRMAN PADGETT:

23 Mr. Kellam?

24 MR. KELLAM:

25 Dr. Senn, on the first page

--b 1 of your testimony, you state, and I quote:

2 "We feel that adequate
3 protection of air quality is
4 already in place under other
5 provisions of the Clean Air
6 Act."

7 Just as a point of clarification,
8 it is not clear to me whether you mean that we
9 currently have sufficient authority under the
10 Clean Air Act or whether you feel that, indeed,
11 no further regulation of air pollution is
12 necessary?

13 MR. SENN:

14 No, that's not what I said.
15 What I said was, we believe there is adequate
16 protection of air quality already in place, either
17 under the Clean Air Act or by in-plant controls;
18 so there is really more than just the Clean Air
19 Act.

20 By the in-plant controls, we
21 monitor inside the limits of our plant the levels.
22 We monitor exposures. We follow the health of
23 our people.

24 I guess I have a little hard
25 time if we are controlling things within the

45-b

1 plant, why outside the plant that it is critical
2 to move this rapidly without going and getting
3 the scientific data and making a rather exhaustive
4 study.

5 MR. KELLAM:

6 I understand your point.

7 But isn't it true that a lot of
8 the techniques that you would use in your plant
9 to control or limit the exposure of your workers
10 indeed may vent through the roof and into the
11 ambient air?

12 MR. SENN:

13 Not necessarily.

14 MR. KELLAM:

15 I'm not saying exclusively,
16 but --

17 MR. SENN:

18 You are talking about venting?
19 Vents? Flares?

20 MR. KELLAM:

21 You say, using current industrial
22 hygiene science; and I assume that those are
23 steps that you take to protect the workers?

24 MR. SENN:

25 That is right.

1 MR. KELLAM:

2 I think you have answered my
3 question. Thank you.

4 CHAIRMAN PADGETT:

5 Any other questions?

6 (There was no response.)

7 CHAIRMAN PADGETT:

8 Thank you.

9 MR. SENN:

10 Thank you.

11 UNIDENTIFIED SPEAKER:

12 Mr. Chairman, with respect to
13 the information that Dr. Anderson raised regarding
14 Dr. Sneiderman (phonetic), is that information
15 received in the last 48 or 72 hours? Or when
16 was that information made available? Because I
17 think Dr. Albert (phonetic) referred to that on
18 Monday.

19 MS. ANDERSON:

20 These same comments were made
21 in the OSHA hearings. He has made them many times;
22 many places.

23 I happen to have a letter dated
24 October 25, 1979, where he has made these comments
25 in writing. So I have to get a copy of it.

47-b

1

CHAIRMAN PADGETT:

2

All right.

3

Meg Titus?

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

8-b

STATEMENT OF MEG TITUS

MS. TITUS:

My name is Meg Titus, and I'm speaking on behalf of the League of Women Voters of Texas, because our organization believes that our goal of promoting an environment beneficial to life is well served if any toxic substances can be controlled at the outset rather than going down the sewer, into the dump or up the smokestack, we support adoption and implementation of policies which will provide effective controls of carcinogenic air pollutants.

It is generally agreed that one in four Americans will contract cancer, that the majority of these cancers are preventable and that stationary sources contribute a substantial fraction of the cancer causes, and I will cite some World Health Organization factors.

The World Health Organization and assorted scientific groups have estimated that 60 to 90 percent of human cancers are associated with environmental factors.

It is also generally recognized that Section 112 of the Clean Air Act Amendments of 1977 which contains the Congressional mandate

49-b

1 relating to controlling airborne pollutants as
2 well as airborne carcinogens has not been
3 effectively implemented to date as only four of the
4 hundreds of hazardous air pollutants have
5 standards.

6 Policies and procedures for
7 controlling potential carcinogens must somehow
8 greatly accelerate the pace of implementation of
9 Section 112 to adequately protect the public
10 health.

11 We find the counties with the
12 highest cancer rates in the country in our own
13 Gulf Coast area. Many studies indicate that
14 cancer of the liver, lung, brain, nasal cavity,
15 larynx and eye as well as melanomas can be
16 largely attributed to the environmental hazards
17 surrounding the petrochemical industry.

18 It is estimated that 70 to 90
19 percent of these cancers are environmentally
20 related -- R. Doll, "Prevention of Cancer", 1967;
21 Higginson, "Environment and Cancer", 1972, Pages
22 69-89.

23 The petrochemical industry
24 itself can no longer come with the risks of its
25 own operations, due to the industry's skyrocketing

-b

1 insurance premiums with renewals sometimes 50
2 times higher than their older rates.

3 In addition, we are just
4 beginning to realize the profound effects these
5 substances may be having on future generations.
6 Many substances which are carcinogenic can also
7 produce mutations and/or abnormalities in the
8 development of the fetus if the pregnant mother
9 is exposed.

10 The gravity of this situation
11 is profound -- the effects irreversible and may
12 effect the lives of innocent people for
13 generations to come.

14 As an example of this sort of
15 toxic airborne emission, let's look at Benzene --
16 one for which a standard has not yet been set.
17 Production of Benzene has increased about five
18 percent per year for the past decade, with total
19 1977 production at about 11 billion pounds,
20 some 90 percent of which was produced in petroleum
21 refining and petrochemical industries. Some of
22 its end-product uses are as an octane booster
23 in gasoline, nylons, pesticides, adhesives,
24 coating, inks, paints, varnishes and moldings.
25 Nearly 55,000 full-time workers are exposed to

51-b

1 Benzene, of whom 55 percent work at facilities
2 that have no engineering controls or protective
3 equipment.

4 NIOSH estimates that about two
5 million workers are now exposed to Benzene.

6 The Sanford Research Institute
7 estimates that the general population is exposed
8 to lower but unregulated exposure amounts. This
9 study further documents that these estimates have
10 been confirmed by limited industry monitoring
11 including that by the American Petroleum
12 Institute. The study also documents that over
13 half the Benzene supply in the United States
14 comes from a small number of petroleum refineries
15 in Texas, California, Louisiana and Illinois.

16 It is further estimated that
17 more than six million people who live within the
18 vicinity of these refineries are being
19 constantly exposed to Benzene emissions in the
20 .1 parts per billion range. A wide range of
21 chemical manufacturing plants through the U.S.,
22 but particularly concentrated along the Gulf
23 Coast, leak substantial quantities of Benzene
24 into the atmosphere. Another source of Benzene
25 exposure are gasoline stations of which there are

about 200,000 in the United States.

With the phasing out of lead additive, Benzene use as an octane booster has doubled over the last four years to current levels of from 1 percent to 2.5 percent in most brands. This is mostly liberated during fill-up from the displacement of gasoline within the gas tank producing recent Benzene levels averaging 250 parts per billion immediately adjacent to the gasoline pumps.

Estimates of average Benzene levels from these sources range from 1 to 4 parts per billion in downtown Dallas and other cities across the country -- Ibid., page 87.

For documentation of Benzene as a cancer-causing agent and as the cause of other occupational diseases, such as aplastic anaemia and chromosomal effects, I refer you to The Workplace, Case Studies in The Politics of Cancer by Mr. Epstein, 1979, pages 132 to 137.

An emergency standard was granted May 29, 1979 after a petition by the AFL-CIO but was strongly protested by the API and the Federal Court of the New Orleans Fifth Circuit granted a stay of the emergency standard

53-b

1 of 1 part per million. The League of Women Voters
2 of Texas urges the EPA to do everything possible
3 to move more rapidly toward regulation of Benzene
4 and the hundreds of other potential carcinogens
5 than it has since the passage of the Clean Air
6 Act of 1970.

7 Protection of public health is
8 the issue and the present standard of 10 parts
9 per million is not adequate protection of the
10 public health.

11 We agree with the following
12 conclusions found in the proposed policy and
13 procedures for Regulation of Airborne Substances
14 Posing a Risk of Cancer as set out in the Federal
15 Register, Volume 44, October 10, 1979. We agree
16 that the public has frequent exposures to
17 potential carcinogens from stationary sources.
18 We agree that no safe level of a carcinogen has
19 been identified. We agree that an increasing
20 number of persons can be expected to develop
21 cancer from even low levels of exposure. We
22 agree that positive results from either
23 human-epidemiological or animal-toxicological
24 studies are adequate to establish the
25 carcinogenicity of a substance. We agree that

54-b 1 any proposed national emissions standards for each
2 source category should be based solely on
3 potential health effects.

4 The Texas League commends the
5 EPA's strong acknowledgement that airborne
6 carcinogens do pose a major public health problem
7 which will require tough emissions controls to
8 prevent serious illness and deaths. We also
9 commend the EPA for planning to list and regulate
10 many more potential carcinogens, for urging
11 industry to search for substitute processes that
12 may eliminate carcinogenic emissions altogether
13 from the workplace and the ambient air, and for
14 insisting that new plants develop strong
15 emissions controls.

16 We believe, however, that
17 current epidemiological methods are insufficiently
18 reliable to provide an adequate and complete
19 assessment of the impact on public health of
20 multiple carcinogens and their potential
21 synergistic effects.

22 Airborne carcinogens are still
23 another incremental hazard to add to the many
24 other exposures we all receive daily. We also
25 believe that 10 years has been an inexcusably

55-b

1 long time for the EPA to have spent in addressing
2 Section 112 which states that within 360 days
3 of placing a substance on the Hazardous Air
4 Pollution List, EPA must set standards to protect
5 the public health with "an ample margin of
6 safety." Section 112 (a)(1), (b)(1)(A)(b)(1)(B).

7 You have scarcely begun the
8 task as you have listed only six hazardous air
9 pollutants up to this time and standards, some of
10 which we feel are inadequate, have been set for
11 only four. The League urges you to begin
12 immediately to list and regulate a specific number
13 of potential carcinogens and we support the twenty
14 per year proposed by the Environmental Defends
15 Fund, and this this be done on a scheduled
16 priority basis with adequate publicity about the
17 listing so that Industry will be certain to know
18 that regulation is imminent and will be based on
19 health not economic factors, and also so that
20 the public can be certain of protection.

21 If further financial resources
22 are required for the EPA to make this commitment
23 the League in Texas can be counted on to support
24 your efforts to obtain them.

25 We believe the EPA should make

-b

1 every effort to facilitate the listing and
2 regulating process by avoiding the rehash of
3 such matters as the validity of animal studies
4 or the concept of "no safe dosage." Much time
5 could be saved in this process if the EPA would
6 accept and make it known that it would adhere to
7 the following statements contained in the HEW
8 document entitled, "Estimates of the Fraction
9 of Cancer in the U.S. Related to Occupational
10 Factors," September 15, 1978. They are:

11 The estimate that only one
12 to five percent of total cancers in the U.S.
13 are attributable to occupational factors have
14 not been scientifically documented and have little
15 meaning for estimating even short-term future
16 risks.

17 Most cancers have multiple
18 causes: It is a reductionist error and not in
19 keeping with current theories of cancer causation
20 to attempt to assign each cancer to an exclusive
21 single cause.

22 Because cancer incidence is
23 strongly dependent on age and duration of exposure,
24 and because most cancers occur late in life, any
25 industrial epidemiological studies detect only a

57-b

1 small fraction of cancers, that is, those
2 developing early.

3 Past exposure to asbestos is
4 expected to result in up to two million excess
5 cancer deaths in the next three decades; this would
6 correspond to roughly 13 to 15 percent of the total
7 cancer mortality expected in that period.

8 Reasonable projections of the
9 future consequences of past exposure to established
10 carcinogens suggest that at least five of them --
11 Benzene, arsenic, chromium, nickel oxides and
12 petroleum fractions -- may be comparable in their
13 total effect to asbestos.

14 These projections suggest that
15 occupationally-related cancers may comprise as
16 much as 20 percent or more of total cancer
17 mortality in forthcoming decades. Asbestos alone
18 will probably contribute up to 13 to 18 percent
19 and the data on the other five carcinogens suggest
20 at least 10 to 20 percent. These data do not
21 include effects of radiation or effects of a
22 number of other known chemical carcinogens.

23 Although exposure to some of the
24 more important occupational carcinogens has been
25 reduced in recent years, there are still many

b 1 unregulated carcinogens in the U.S. workplaces.

2 A number of occupations are characterized by
3 excess cancer risks that have not yet been
4 attributed to specific agents.

5 There is no sound reason to
6 assume that the future consequences of present-day
7 exposure to carcinogens in the workplace will be
8 less than those of exposure in the recent past.

9 Patterns and trends in total
10 cancer hypothesize that occupationally-related
11 cancers comprise a substantial and increasing
12 fraction of the total cancer incidence.

13 The conclusion that a substantial
14 fraction of cancers in the U.S. are occupationally-
15 related is not inconsistent with conclusions that
16 a substantial fraction of cancers are also
17 associated with other factors such as cigarette
18 smoking and diet (sic).

19 Occupationally-related cancers
20 offer important opportunities for prevention.

21 The League of Women Voters of
22 Texas believes that EPA, OSHA, NIOSH, the
23 National Cancer Institute and the International
24 Agency for Research on Cancer all should use the
25 above as the basis for future action to eliminate

59-b

future debate on these widely-accented principles.

Our organization also believes that the EPA proposal to use a quantitative risk assessment for each chemical to decide if risk exists even after the use of BAT flies in the face of Section 112. That section requires standards which provide an ample margin of safety for airborne pollutants. We find no statutory license for accepting any amount of residual risk as you propose to define "unreasonable risk."

We agree with Senator Muskie's statement, and I quote:

"The bill provides the Secretary with the authority to prohibit the emission of hazardous substances. The Committee was presented with strong evidence that any level of emission of certain pollutants may produce adverse health effects that cannot be tolerated.

It seems to us that the EPA is proposing to accept a certain number of deaths as a result of not being able to identify a threshold for carcinogens. We believe the Senate

Committee did not accent that concept, nor do we.

We believe that in order to provide the greatest possible incentive for Industry to move quickly to reduce risks to the public from carcinogens, EPA should require that one year after a standard is set either the emissions goal should be zero for known carcinogens, or a substitute should have been found, or documentation should be presented by Industry that no available technology could attain zero carcinogenic emissions.

We read Section 112 as an important technology-forcing portion of the Clean Air Act Amendment with the burden of proof on Industry, not on the EPA, for not meeting the mandate of the law. Further, an offset policy should be developed to assure continued emission reductions.

We believe that dispersion approaches such as the risk avoidance criteria and the proposal for providing waivers will encourage an increase in carcinogenic emissions in areas of low population and we did not think that was the intent of the law.

The League of Women Voters of

61-b

1 Texas is also concerned that quantitative risk
2 assessments when dealing with carcinogens are
3 unreliable and, therefore, unwarranted. All
4 current knowledge in this area is related to
5 qualitative analysis and, given the factors of
6 long latency periods and unknown or unmeasured
7 exposure levels, we believe quantitative risk
8 assessment to be not only imprecise but
9 unacceptable, as no portion of Section 112
10 suggests its use or suggests that balancing risks
11 and benefits can be considered under this portion
12 of the law.

13 Under a separate rulemaking
14 notice entitled, "National Emission Standards for
15 Hazardous Air Pollutants: Advance Notice of
16 Proposed Generic Standards", EPA sets out a group
17 of housekeeping standards and requirements for
18 sources to use in controlling carcinogenic
19 emissions. We support the concept of such
20 requirements as a quick method for reducing fugitive
21 emissions. However, some of these proposals seem
22 to be less stringent than RACT, reasonably
23 available control technology, for hydrocarbons.
24 We urge that these be strengthened not only to
25 reduce health risk but, judging from previous

industry actions, they may reduce industry costs.

A single rulemaking to apply to all pollutants in this category could save a great deal of time as opposed to separate proposals for each chemical as it is added to the list to be regulated.

We also support housekeeping requirements for storage, pumping and processing of potential carcinogens, as well as for their production. For example, as the Court was drafting its final decision, a study was released for the Manufacturing Chemists Association showing that control of process vents and Benzene storage tanks could achieve 95 percent reduction of all emissions for considerably less cost than previously estimated.

Both Government and Industry resources should quickly focus on these generic housekeeping measures to reduce carcinogenic emissions as soon as possible.

Our state air quality position as well as our national position requires us to urge the above outlined measures relating to rules, policies and procedures for identifying, assessing and regulating airborne substances posing a risk

63-b

1 of cancer be implemented rapidly. We also urge
2 that the final rules be drafted in such a manner
3 as to encourage voluntary compliance.

4 Thank you for the opportunity
5 to present our views.

6 MR. PATRICK:

7 One of the important things at
8 these public hearings is to find out -- where
9 things are being misinterpreted.

10 A perfect example of that
11 is our housekeeping requirements are being
12 interpreted by you as being less stringent; and
13 Mr. Krohn determines them as being more stringent

14 And it seems like we've got
15 to do a little bit of rewriting on that.

16 CHAIRMAN PADGETT:

17 Dr. Anderson?

18 MS. ANDERSON:

19 On the use of quantitative
20 risk assessment, to look at residual risk, the
21 thrust of your comments presumed the use of this
22 tool essentially would -- from the Agency's point
23 of view -- permit a certain amount of cancers to
24 stay out there after the application of the best
25 available technology.

1 Looking at it another way,
2 suppose we had applied best available technology
3 and we didn't take into account potency -- which
4 seems to vary widely amongst the carcinogens --
5 and that there are two determinations; whether or
6 not something can cause cancer and then how potent
7 it is.

8 Suppose that the residual risk
9 there from a chemical as potent, say, as dioxin,
10 if we didn't take any account of this, we wouldn't
11 have any way of knowing.

12 So saving from two to zero
13 in the next five years would leave us really not
14 fulfilling a major public health responsibility.

15 Don't you think that maybe
16 rethinking might lead you to think that it really
17 is worth taking a look at this residual risk
18 application of best available technology?

19 MS. TITUS:

20 Possibly. But we still feel
21 some concern about using quantitative analysis.

22 MS. ANDERSON:

23 Just to make the point: I
24 think if we don't look at it, it means that we
25 treat all chemicals as if they have precisely the

1 same ability to affect public health -- which we
2 know isn't true.

3 MS. TITUS:

4 Yes; I know.

5 CHAIRMAN PADGETT:

6 Sort of into the same area: On
7 page 6, you state:

8 "...EPA should require
9 that one year after a standard
10 is set either the emissions
11 goal should be zero for
12 known carcinogens, or a
13 substitute should have been
14 found, or documentation
15 presented by industry that
16 no available technology could
17 attain zero carcinogenic
18 emissions."

19 Assuming that Number three is
20 the course of action, then, is it your statement
21 that that would be a sufficient standard or --

22 I am not sure exactly what you
23 have said here.

24 MS. TITUS:

25 Yes. I can see why there might

b 1 be some confusion on that.

2 I think that our position would
3 be that if there were no method available for
4 reducing those carcinogens, then further search
5 should be made for a substitute. They should
6 simple not be allowed to be emitted into the air.

7 CHAIRMAN PADGETT:

8 But in the meantime, they would
9 simply live with the best available technology.

10 MS. TITUS:

11 Until a substitute -- I suppose
12 that would be rather inevitable.

13 CHAIRMAN PADGETT:

14 So you are saying there is no
15 potential alternative for more stringent action
16 in the case of particularly strong --

17 MS. TITUS:

18 We would like to see the EPA
19 take the strongest possible option for controlling
20 them under any circumstance.

21 We feel the option should be
22 chosen which would be most likely to reduce
23 carcinogenic emissions and come closest to
24 attaining a zero emission.

25

67-b

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CHAIRMAN PADGETT:

Any other questions?

(There was no response.)

CHAIRMAN PADGETT:

Is D. W. Fuller in the
audience now?

(There was no response.)

CHAIRMAN PADGETT:

How about someone from the
Texas Air Control Board?

(There was no response.)

CHAIRMAN PADGETT:

Our next speaker, then, is
Jim Mullins.

STATEMENT OF JIM MULLINS

MR. MULLINS:

Good afternoon, Mr. Padgett and members of the panel.

I am a Senior Staff Engineer with the Shell Oil Company Environmental Affairs Department.

My purpose today is to provide a summary of Shell's written comments on both the proposed rulemaking regarding the policy for identifying, assessing and regulating airborne substances posing a risk of cancer, and the proposed rulemaking regarding generic standards under the NESHAPS program.

With me is Mr. Brynn Aurelius of Shell's legal organization who, with myself, will be glad to respond to questions the panel may have following my presentation.

The proposed policy appears to be based on the premise that industrial airborne substances are known to be responsible for a significant proportion of the cancer that exists today. In this respect, the proposal reiterates a statistic that has been repeatedly misunderstood: Namely, "60 to 90 percent of all human cancers

69-b

1 may be due to environmental factors." Although
2 it is further stated in the proposal that the
3 term "environmental factors" must be understood
4 to include chemical exposures from smoking, diet,
5 occupation, drinking water, air pollution, various
6 forms of radiation, including sunlight, and some
7 forms of physical irritation, the conclusion
8 which has been reached by EPA creates the
9 impression that industrial airborne substances
10 present a great risk of cancer.

11 EPA has not presented data, and
12 we are not aware of any data, which would support
13 this conclusion. In fact, there has been previous
14 testimony at an earlier procedure (sic) which
15 actually supports a conclusion of no known effect

16 Without adequate data to support
17 this Agency position, there is no justification
18 for the broad-based generic regulation that has
19 been proposed. Furthermore, this new policy is
20 not needed because the Agency already has the
21 authority to regulate hazardous airborne pollutants

22 Even more inexplicable is the
23 Agency's proposal to abandon its previous policy
24 of separation of scientific issues from regulatory
25 issues. The "Interim Guideline" under which the

-b

1 Agency now operates, and indeed parts of the
2 proposed rulemaking, take cognizance of this
3 principle.

4 The preamble to the proposed
5 rulemaking states that judgments concerning the
6 probability of human carcinogenicity, a scientific
7 issue, are made based on the quality and weight
8 of evidence. This implies that the Agency wishes
9 to address scientific issues separately from
10 regulatory issues. Unfortunately, this logical
11 process is contradicted by the proposed rule.

12 The net effect of the proposal
13 is that we are faced with a procedure that
14 prescribes listing and an arbitrary degree of
15 control, best available technology, prior to any
16 significant assessment of the risk. It is not
17 reasonable to regulate a substance if there are
18 no data to indicate that the substance poses a
19 significant risk.

20 It is even possible that there
21 will never be a quantitative risk assessment of
22 the type proposed by the Agency since the policy
23 states that one will be made after the imposition
24 of BAT only if possible.

25 This situation has been created

71-b

1 because the Agency has not adhered to its stated
2 principle of separation of scientific and
3 regulatory issues. EPA has instead chosen to use
4 rigid, fixed criteria and automatic classification

5 This action results in an
6 approach to zero-risk because it is a de facto
7 attempt to eliminate all risks from airborne
8 carcinogens. Under the proposal, substances could
9 be listed under Section 112 of the Clean Air Act
10 if there were a single mammalian study demonstrati
11 the induction of certain benign tumors under
12 severe conditions of high-dose exposure.

13 Under the proposed criteria, it
14 is evident that the proposed policy is an
15 indiscriminate mixture of scientific and
16 regulatory issues. Generic regulations, while
17 ostensibly offering regulatory agencies a means
18 to speed up the promulgation of regulations, in
19 the long run will do the country harm because they
20 compromise complex scientific issues and lead to
21 poor utilization of the resources of this country.

22 Because of the minimal
23 requirements that must be met to classify a
24 substance as a "high-probability" carcinogen, and
25 the undefined "significant-exposure" level,

-b

1 substances will be regulated without benefit of
2 a quantitative risk assessment of the type
3 detailed by EPA.

4 If we are to gain the maximum
5 benefit from our country's resources, it is
6 essential that the quantitative risk assessment
7 be conducted prior to, and used as a basis for,
8 listing a substance as a hazardous air pollutant.

9 Turning to another concern, we
10 believe the proposed policy thwarts the
11 requirement of Section 112 of the Clean Air Act
12 to provide for public review and comment on a
13 decision to list a substance as a hazardous air
14 pollutant.

15 Under Section 112, the Agency
16 is required to conduct a public hearing regarding
17 the decision to list a substance within 210 days
18 of that listing. The proposed policy fails to
19 provide this required step in the rulemaking
20 procedure.

21 We believe that the Agency must
22 provide for public review of the decision to list
23 as well as all the evidence used to make that
24 decision. An opportunity must also be provided
25 at such a hearing for the presentation of

73-b

1 information which is relevant to the listing
2 decision. It is only after such a hearing that
3 the Agency may proceed to regulate under
4 Section 112.

5 We also believe that the proposed
6 requirement to regulate a substance to the level
7 of best available technology, or BAT, as a minimum
8 in addition to its wastefulness of resources,
9 goes beyond the requirements of Section 112. With
10 this approach, the Agency has pre-determined that
11 nothing less than BAT will provide an ample margin
12 of safety to protect the public health. Without
13 benefit of a quantitative risk assessment, it is
14 impossible to judge such a margin of safety. To
15 regulate at the BAT level, without such a
16 demonstration, is simply not authorized by
17 Section 112.

18 Another issue of concern is the
19 Agency's conclusion that the proposed policy does
20 not meet the criteria contained in Executive
21 Order 12044 for requiring a regulatory analysis
22 because, and I quote, "The policy does not impose
23 regulatory requirements on any emission source."
24 This conclusion is inconsistent with both the
25 title and thrust of the proposed rulemaking.

1 Simply because the Agency chooses to call the
2 regulation a "policy" does not relieve the Agency
3 from the requirement for a regulatory analysis.

4 The Policy describes very
5 specific regulatory actions for substances that
6 meet very rigid criteria. Only the names of the
7 substances are missing. And even here, the Agency
8 has already identified, but not accounted, 40
9 substances for which carcinogenicity determinations
10 and preliminary-exposure estimates are underway.
11 The Policy will have sharply defined results
12 which can be measured and quantified. Under the
13 policy the Agency will be able to list a
14 suspected carcinogen and trigger all that must
15 follow under Section 112, before the required
16 regulatory analysis is conducted.

17 At this time, I would like to
18 comment on the Advance Notice of Proposed
19 Rulemaking for Generic Standards under the NESHP
20 program. Our initial concern here is that the
21 proposed generic rules appear to put the cart
22 before the horse. The concept of regulating very
23 minor sources prior to preparation of a
24 quantitative risk assessment or consideration of
25 other routes to reduce exposure is wasteful of

75-b

1 limited resources and clearly not an effective
2 way to deal with the problem of control of
3 hazardous air pollutants.

4 It a regulation for control of
5 fugitive emissions is ever justified, we believe
6 the rules proposed here are too rigid and
7 potentially ineffective. The Agency states it has
8 considered three alternate approaches for these
9 controls.

10 It has rejected the approach
11 of requiring specific performance levels since it
12 claims that there are insufficient data available
13 to set performance levels for the type of emission
14 being considered. We agree that this approach
15 would be very difficult.

16 We do not agree, however, with
17 rejection of the second approach -- that of
18 individual plant systems developed from
19 EPA-issued guidelines. This type of system has
20 been adopted by EPA in its current NESHAP standard
21 for vinyl chloride and in our opinion meets or
22 exceeds the level of control contemplated by
23 EPA's actual proposal.

24 In our written testimony we
25 have presented data which indicate that a system

b 1 utilizing fixed-point monitors is extremely
2 effective in controlling fugitive emissions.

3 Leak frequencies of less than
4 one percent for equipment such as flanges and
5 valves have been demonstrated. We do not advocate
6 fixed-point systems as the mandatory regulatory
7 scheme, however, since circumstances differ at
8 each facility. We believe that each source should
9 be allowed to adopt a leak-detection and repair
10 program that is compatible with its design and
11 operating characteristics while meeting certain
12 guidelines provided by the Agency.

13 In the area of recordkeeping
14 and reporting, the proposal is inconsistent with
15 the recordkeeping and reporting requirements
16 already in place under NESHAP for vinyl chloride
17 controls. This will be confusing to Industry
18 and serve no useful purpose.

19 In closing, we recommend the
20 following:

21 - The Agency should revise its
22 current conclusion that industrial
23 airborne substances present a
24 significant risk of cancer to the
25 public. The available data simply

77-b

1 do not support such a position.

2 A generic type regulatory policy,
3 such as proposed, is not needed.

4 - If a new regulatory policy is
5 to be promulgated, the Agency should
6 maintain its philosophy of separating
7 scientific policy from regulatory
8 policy, as described in its "Interim
9 Guideline."

10 - The Agency should recognize that
11 a rigid generic classification system
12 without quantitative risk assessment
13 will expend this Nation's limited
14 resources without obtaining maximum
15 benefit.

16 - Quantitative risk assessment of
17 the type detailed by EPA should be
18 used prior to, and as a basis for,
19 listing a substance under Section 112.

20 - The adoption of this, or any
21 similar policy, cannot substitute
22 for the requirement to provide for
23 public hearing and comment on the
24 decision to list a specific substance.

25 - The requirement that Best Available

1 Technology will be the minimum
2 level of control is not consistent
3 with Section 112 requirements. The
4 level of control must be that which
5 provides the "ample margin of safety"
6 and no more.

7 - A regulatory analysis of the
8 proposed policy, as required by
9 Executive Order 12044, can be and
10 should be made.

11 - The use of the generic-type
12 fugitive emission control for the
13 initial regulation step is not cost
14 effective. All types of control
15 should be considered, and only those
16 that meet the economic and "ample
17 margin of safety criteria" should
18 be promulgated.

19 - Any fugitive emission control
20 scheme that is adopted should be in
21 the form of guidelines which will
22 allow each source to utilize control
23 procedures that best fit its
24 particular circumstances.

25 Our written testimony discusses

79-b

1 the points I have made today more fully and also
2 presents other concerns which we have. I
3 appreciate the opportunity to appear here today
4 and will be glad to respond to questions the panel
5 may have.

6 MR. PATRICK:

7 Jim, just a couple of quick
8 things.

9 You're advocating, really, a
10 guideline-type approach that is something we
11 talked about in Washington.

12 You also stated on page 7
13 that the standards for vinyl chloride met or
14 exceeded the level of control contemplated by
15 EPA's actual proposal.

16 Do you regard those requirements
17 to be a good basis or sufficient from the
18 standpoint of emission control --

19 MR. MULLINS:

20 Well, in the case of fugitive-
21 emissions control data for vinyl chloride through
22 leak detection, I was talking about a program
23 established and approved by EPA; that's the
24 guideline I am talking about.

25 MS. ANDERSON:

1 I think perhaps something else
2 isn't coming through clearly in the Policy. I
3 wonder why you read the Policy and determined
4 that EPA is going to depart from its stated
5 policy in the interim guidelines separate from
6 the regulatory decision?

7 In other words, I'm wondering
8 why it's coming through to you regulatory policy
9 and thus is not following the Agency's guidelines
10 in assessing carcinogen risk.

11 MR. MULLINS:

12 I believe that is a regulatory
13 decision that has mixed science and the
14 regulations.

15 I think that science should
16 determine what is a carcinogen and what is the
17 level of risk and the confidence levels that
18 scientists have in that level and then regulatory
19 decisions are made after that determination to
20 decide what levels of control we will go to.

21 MS. ANDERSON:

22 I think it's unfair to say that
23 neither of these two points deviate from the
24 interim guidelines that were published in May
25 of 1976.

81-b

1 Were you here earlier when
2 they talked about the significance of the single
3 study?

4 MR. MULLINS:

5 Yes, I was.

6 MS. ANDERSON:

7 But I just wonder -- I don't
8 think that it should be read and I think this is
9 an area where we probably will need to do some
10 work, because I don't think that the Policy is
11 intending to say that a single study that is very
12 flimsy and border-line and poorly conducted and
13 so forth all by itself is being used to list
14 something as a cancer risk under any one of EPA's
15 seven laws.

16 But rather than in some cases
17 it certainly does make sense to regard information
18 from a single animal test as such examples that I
19 gave this morning were, for example, the
20 aflatoxin situation and I wondered if you have
21 any feelings on using single-animal tests and
22 certainly looking at everything else we have all
23 the other related information.

24 But do you regard this as a
25 reasonable use of a single-animal test?

-b

1 The aflotoxin data being that
2 aflotoxin was tested and the mouse was negative
3 and the rate positive and there are a number of
4 chemicals tested this way and then we'll have an
5 opportunity to get human epidemiological data.
6 We often can confirm that indeed what is expected
7 is demonstrated in human population.

8 Do you think the Agency should
9 ignore results of this type in single-animal
10 species?

11 MR. MULLINS:

12 I don't think it should be
13 ignored. I think efforts should be made to
14 confirm them. I think other types of studies
15 should also be looked at, both positive and
16 negative rather than using just the one.

17 I think as I stated, there are
18 in the preamble to the proposed policy much of
19 the statements which you have just made and it
20 would appear that use of the interim guidelines
21 might be the type of thing that's being proposed.
22 But when you get to the actual Appendix C that is
23 being proposed, a lot of that does not come
24 through.

25 MS. ANDERSON:

 I think that's the idea of the

83-b

1 interim guidelines. You mean --

2 Notice taken that these
3 guidelines would apply to seven or six, at the
4 time I think, toxic substances.

5 MR. PATRICK:

6 I thought of one other thing.

7 You made a statement that the
8 requirements for BAT as a minimum was not
9 consistent with 112 and its language about ample
10 margin of safety.

11 I think the thought there was
12 when you can't define a threshold, how do you
13 define ample margin of safety?

14 And it has been, I think,
15 a...BAT concept really fit that better and then
16 assigned BAT as a minimum and then look for
17 residual risk going above that. And we see that
18 as consistent with the BAT concept in 112.

19 Do you -- You apparently have
20 a different interpretation of that when you have
21 under the assumption of no-threshold. Do you
22 still see some more individualized level of
23 determination of what's the adequate controls?

24 MR. MULLINS:

25 It is indeed true that best

1 available control technology must be -- will be
2 the minimum. There may be cases where that is
3 indicated.

4 MR. BAUMAN:

5 On page 5 of your testimony,
6 the second paragraph, you make some remarks about
7 the public participation in both the decision
8 and the evidence.

9 And I gave my views earlier
10 this morning...

11 To your testimony I do feel the
12 policy does speak to that issue.

13 I do have a question, though,
14 with regard to your statement on page 4. You
15 say that:

16 "...substances will be
17 regulated without benefit of
18 a quantitative risk assessment
19 of the type detailed by EPA."

20 And my question to you is, what
21 do you mean by regulation or what do you mean --
22 How do you define the term "regulation"?

23 Are you referring now to the
24 emission regulations for the BAT or what?

25 MR. MULLINS:

85-b

1 I am referring both to BAT and
2 the generic standards. The Policy seems to state
3 to us that the generic standards and best
4 available technology will be mandated as the
5 minimum level of control and the risk assessment
6 will be used only to determine if we should
7 go any further.

8 It seems to me that if that
9 risk assessment is made after those controls
10 have been imposed, it is too late. We have
11 already spent the money and put it in.

12 Indeed, the risk assessment for
13 any given substance may show that BAT went
14 further than we need it to.

15 MR. KALLAM:

16 Mr. Mullins, on page 3 of your
17 testimony, you indicated, as other witnesses
18 have, that you feel that the policy suffers from
19 its use of rigid fixed criteria and automatic
20 classifications.

21 My first question is: Do you
22 feel that -- Do you mean by these criteria, the
23 listing criteria that we use?

24 MR. MULLINS:

25 Yes.

b 1 MR. KALLAM:

2 On the following page, you
3 refer to the " ...undefined 'significant-exposure'
4 level."

5 That is also one of our criteria
6 for listing. Am I to understand that as far as
7 exposure is concerned, you are more worried about--

8 MR. MULLINS:

9 Yes. I am concerned that the
10 EPA will list the substance if it determines that
11 there is a significant exposure. But there is
12 no guideline given as to what is a significant
13 exposure.

14 MR. KALLAM:

15 Would you then prefer us to
16 have fixed, rigid criteria for exposure?

17 MR. MULLINS:

18 I think it ought to be a
19 guideline. I think each substance has to be
20 evaluated or the exposure of each substance has
21 to be evaluated; what you need a guideline on is
22 what is significant in relationship to other
23 substances that we are looking at.

24 If you look at one given
25 substance this year and find an exposure level

87-b

1 that is considerably lower, you may consider that
2 that is significant. There doesn't seem to be any
3 guideline for saying -- relating the significance
4 of the exposure between chemicals.

5 MR. KELLAM:

6 I think that's the conclusion
7 that we reached. Thank you.

8 MR. PATRICK:

9 I had one question from the
10 audience concerning that statement about fixed-
11 point monitoring systems.

12 (Addressing the audience:) If
13 you will see me after the meeting, I will give
14 you the names of the people you can talk to to
15 get more information on that.

16 CHAIRMAN PADGETT:

17 Dr. Walker was listed to speak
18 this evening. He asked to be moved up.

19 There are several times where
20 I have called out the names of a couple of people
21 who have been listed to talk and they have not
22 been here.

23 Let me just ask a general
24 question: Is there anyone in the audience who
25 thinks that they were listed to speak this

1-c

STATEMENT OF FRANCES B. SMITH

MS. SMITH:

Thank you, Mr. Chairman, for this opportunity to comment on the EPA proposed rulemaking on emissions of cancer-causing substances into the air.

I am Frances Smith, representing the League of Women Voters of Houston, which has a deep concern for public health, and has had an active interest in air quality in general and airborne carcinogens in particular, for several years.

In 1977, the League of Women Voters of Houston, and the Texas League, sponsored in conjunction with the University of Texas Health Science Center at Houston, and Tenneco Chemicals,, Incorporated, a Conference on Environmental Cancer.

This conference focused on several aspects of the problem, including airborne carcinogens. The concern, which prompted this conference, grew out of the awareness that proper control of carcinogens in the present may reduce cancer rates in the future, and that current cancer rates reflect exposures which pre-date the

1 increased production and use of chemicals which
2 has occurred since the early 1960's, because of
3 varying latency periods.

4 We are aware of the work of
5 Hoover, Mason and McKay, and also Blot and
6 Fraumeni which show that lung cancer rates are
7 higher in highly-industrialized counties than
8 non-industrialized counties in the United States.
9 And Enstrom, as noted in a letter in Science,
10 reported last year that there has been a
11 significant increase, since 1935, in the lung
12 cancer rate among non-smokers. Therefore, as
13 residents of an industrial area, we urge you to
14 control the hazards from airborne carcinogens
15 as rapidly as is feasible.

16 We note that three carcinogens
17 are now listed under Section 112 of the Clean Air
18 Act Amendments. We support your efforts to
19 control now some of the airborne carcinogens
20 through the use of generic standards for source
21 categories. We would expect that more of these
22 will eventually be listed under Section 112. We
23 urge your continued evaluation of the twenty-six
24 chemicals termed known human carcinogens by the
25 International Agency for Research on Cancer.

3-c

1 We realize that it is difficult
2 to determine a threshold for carcinogens, if
3 indeed there is one. We realize also that neither
4 zero-risk nor zero-emissions are probably
5 attainable in all cases. However, these facets
6 of the control problem do not diminish at all the
7 argument that carcinogenic emissions should be
8 reduced to the lowest feasible level to reduce
9 the hazards to the general public.

10 We recognize the need for risk
11 assessment, but only as a means of setting
12 priorities. Qualitative, not quantitative,
13 inferences can be drawn from evaluation of the
14 extent of general public exposure and evaluation
15 of carcinogenicity. Given the complex organic
16 emissions from highly industrialized areas, such
17 as ours, adequate risk assessment is difficult.
18 Will the increments of each carcinogen be
19 considered additive or synergistic? Surely they
20 should not be considered entirely separately.

21 Some flexibility may well be
22 desirable in the screening and classification
23 systems. We agree that some generic classification
24 system would enable a more rapid control of
25 airborne carcinogens. But we urge you to careful

1 consider new approaches, should a more reliable
2 and workable classification system emerge from
3 current research on chemical reactivity.

4 Thus, we support your approach
5 in reducing airborne carcinogens quickly through
6 generic standards, and urge you to proceed as
7 rapidly as possible to list additional substances
8 under Section 112. We believe that an ample
9 margin of safety should be preserved for the
10 protection of the general public.

11 The League of Women Voters of
12 Houston is aware that there is much debate in the
13 matter of airborne carcinogens, but believes
14 sufficient knowledge exists to permit us to
15 proceed. Debate is healthy, but the public health
16 demands that such debate not be permitted to
17 delay protection of that public.

18 Thank you.

19 CHAIRMAN PADGETT:

20 Thank you.

21 What comments do you have
22 relative to siting of new sources?

23 MS. SMITH:

24 We do not choose to comment on
25 that.

5-c

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CHAIRMAN PADGETT:

Thank you.

Any questions?

(There was no response.)

CHAIRMAN PADGETT:

Thank you very much.

Dr. Walker?

STATEMENT OF HARRY M. WALKER

MR. WALKER:

Thank you, Mr. Padgett.

Today I am speaking not in my official capacity but strictly as a concerned citizen of Dickenson, Texas.

I am Dr. H. M. Walker of Dickenson, Texas. I am an atmospheric chemist and am a professional in the field of air pollution. The remarks that I am about to make regarding the subject of this hearing represent my personal and professional views as a concerned citizen and as a professional in the field of air pollution and regulatory affairs.

My great concern regarding today's subject is that it represents a major escalation of the regulatory process in the United States. Today, when the newspapers, the politicians, the scientific community, and the business community have virtually reached a consensus that over-regulation is seriously damaging our nation, our economy, our level of innovation and our ability to mount any national program of consequence, we have before us a sweeping new regulatory proposal of almost

7-c

1 unlimited potential for interference with the
2 activities of citizens in all walks of life.

3 Consider this -- the air
4 pollution program which has, over the past ten
5 years, had vast negative impact on the economy,
6 which has contributed significantly to the nation's
7 inability to resolve its energy crisis and which
8 has in many respects yielded only very
9 questionable benefits, deals only with the
10 regulation of a mere six criteria pollutants plus
11 four additional materials under the NESHAPS
12 program.

13 The program proposed today
14 seeks to control 40 or 140 or 600 of thousands
15 of materials depending upon which sentence in
16 the proposal document one chooses.

17 Let us clearly recognize that
18 the program under discussion does not seek to
19 control materials which are known to cause human
20 cancer by virtue of their being present in the
21 ambient atmosphere. It seeks to control any
22 material which, by laboratory animal testing, can
23 be shown to merely perturb the natural rate of
24 formation of tumors, both malignant and non-
25 malignant in groups of animals specifically

c 1 selected because their natural tumor rate is very
2 high -- or which proves mutagenic in a synthetic
3 test tube procedure such as the Aimes test. Or
4 which becomes epidemiologically associated with
5 cancer in any situation, almost certainly one
6 not involving ambient air exposure.

7 I inject the latter observation
8 because I am totally unaware of any epidemiological
9 association of any specific material with cancer
10 among any population where the only exposure was
11 ambient as this is as contrasted to workplace air.

12 In other words, the policy
13 proposed permits the regulation of materials in
14 the ambient air, not based only upon firm
15 showing that their presence has caused human
16 cancer but merely on subjective judgment about
17 the weight of evidence where the evidence will be
18 almost entirely on such indirect sorts.

19 Also of great concern to me is
20 the total absence of any weighting to be assigned
21 to the potency of any carcinogen, which has been
22 mentioned previously, several times today. Thus
23 it would appear that perhaps benzidine and
24 saccharin may merit equal priority in the pending
25 regulatory campaign.

9-c

1 With the background that dozens
2 and perhaps hundreds of the essential materials
3 of civilization are likely to be involved in the
4 program, the correlatory postulate advanced --
5 namely that the only acceptable level for such
6 materials will be zero is indeed frightening.

7 In these days when the triumphs
8 of analytical chemistry have rendered commonplace
9 the measurement of materials at levels of just
10 a few parts per billion or even just a few parts
11 per trillion, zero is seldom an attainable number.

12 With such premises it seems
13 obvious to me that in most cases the regulatory
14 approach will become simply an absolute ban. A
15 policy of loose criteria plus a zero objective in
16 the hands of an over-zealous regulator has the
17 potential, for starters, to remove from the benefit
18 of mankind, the diesel engine, coal, heavy-fuel-
19 oil combustion, most pesticides, most chlorinated
20 solvents, most dyes, most plastics, many
21 minerals, chemicals, drugs -- in short, a
22 significant portion of those materials which
23 provide the basis for our standards for human
24 comfort and living in the United States today.

25 It has the potential for the

c 1 disruption, if not in some cases the elimination,
2 of industries and activities which, and I quote
3 from your document, "fall into six broad groups;
4 one, mining, smelting, refining, manufacture and
5 end use of minerals and other inorganic chemicals;
6 two, combustion; three, petroleum refining;
7 four, synthetic organic chemical industries and
8 end use application and waste disposal;..." and
9 some others I won't mention. In short, most
10 of the major essential industries of America are
11 to be further seriously regulated.

12 Now, of course, this is not
13 really going to happen. Neither the EPA nor
14 any other governmental body can ban many materials
15 of such major benefit. Just as the FDA found
16 that it could not ban saccharin in the face of
17 an obvious public willingness to accept the
18 material on the basis that its benefits outweighed
19 its risks and this latter consideration, I note,
20 seems to be entirely missing from the proposal
21 under discussion today.

22 What would take place would be
23 a very selective enforcement effort under such a
24 sweeping policy. In effect, whatever is regulated,
25 whatever is banned or whatever is ignored will be

11-c

1 determined by arbitrary decision of the regulators
2 working under a policy so sweeping that it
3 constrains them not at all.

4 The Policy, in practice, will be
5 a vehicle for government by men, not by laws --
6 totally incompatible with our American system.

7 I strongly recommend that you wh
8 are judging this issue recognize the enormous
9 potential for over-regulation inherent in this
10 policy, as written, and also take cognizance of
11 the fact that the pendulum has turned, that the
12 nation is in no mood for any new escalation in
13 the level of arbitrary interference in the
14 economic system or in the lives of citizens, for
15 alledged benefits which are hypothetical and
16 tenuous at best.

17 I, therefore, recommend that
18 the policy be revised to require:

19 1 - Unequivocal levels of proof as
20 to real human carcinogenicity
21 before the qualification of
22 any material.

23 2 - That carcinogenic potency be
24 given serious weight in such
25 qualification.

3 - That risks versus benefits
be similarly given major
consideration.

4 - That target abatement levels
shall not be zero but shall
be reasonably related to
levels and exposures of
demonstrated carcinogenesis.

Such a policy should provide
clear guidance and leave little leeway for
arbitrary regulation. Certainly it should
prove far more desirable from the standpoint
of the economy, of the nation and even of EPA
and should avoid the regulatory chaos which
would be inevitable if the proposal is adopted
without significant improvement.

And I thank you for your
attention.

CHAIRMAN PADGETT:

Before we start, I have one
question from the floor, which you can either
answer or not answer.

"Please declare your
employer for financial interest
in regulation of cancer."

13-c

1 MR. WALKER:

2 I have no financial interest in
3 the regulation. My employer is MOnsanto Company,
4 and I do not speak for Monsanto Company. And I
5 speak because I have a serious concern, myself as
6 a citizen, with some knowledge into the matter.

7 CHAIRMAN PADGETT:

8 Thank you.

9 Relative to your first
10 recommendation on the last page of your write-up:

11 "1) Unequivocal levels of
12 proof as to real human
13 carcinogenicity before
14 the qualification of
15 any material."

16 Do you mean through
17 epidemiological studies?

18 MR. WALKER:

19 Yes sir.

20 I feel the workplace,
21 epidemiologically, is an excellent testing point;
22 if you have a negative epidemiological one, then
23 the chances to have any situation of consequence
24 outside the workplace where the levels will be
25 severalfold lower is almost of no concern at all.

CHAIRMAN PADGETT:

Without getting into a real long discussion on this, because that is really not our purpose here, in my understanding of epidemiology, from several days of testimony, from the various questions, first of all it is a fairly imprecise tool.

We have heard estimates, such as the best it can do is indicate when there is a 50 percent increase in a particular type of cancer; it will tell you that. But that's really about the best it can do.

The second thing is that it requires a good ten, to twenty, to forty years to work, depending on who you ask; some say ten to thirty and some say twenty to forty.

So we're talking about that much of a lag time.

Now, are you saying that there should be that lag and reliance on that imprecise tool before any consideration should be given to control of a particular chemical?

MR. WALKER:

I agree that a lifetime in many cases is very long. Certainly many chemicals who

15-c

1 will become candidates for consideration under
2 this regulation have also been here equally long
3 and if problems have not surfaced in that time
4 then that would be evidence that there is not
5 a problem.

6 CHAIRMAN PADGETT:

7 But you're suggesting a fixed
8 approach, inflexible approach, if you will, that
9 would require this or -- I'm not sure --

10 Because, you see --

11 There are chemicals that are
12 relatively new and many chemicals have not been
13 around in sufficient quantities.

14 MR. WALKER:

15 That is true; that is true; I
16 feel like people can always come up with a
17 perhaps-this-is-a-problem sort of analysis.
18 Perhaps, because it's something like something
19 else that was going to be a problem, could be a
20 problem.

21 But I think the issue is far
22 too important to proceed that arbitrarily. And
23 I feel that these tests -- the Aimes Test, the
24 animals studies and so forth should be done. But
25 each one should represent simply a screening step

1 to move to the next higher level.

2 But there is certainly no
3 one-to-one correspondence between a few positive
4 animal tests and human cancer. Invariably, the
5 animal tests are done in such high concentrations
6 that that alone is one of the reasons you don't
7 have a true applicability.

8 CHAIRMAN PADGETT:

9 Again, your feeling is that
10 you should wait until you get that unequivocal,
11 true epidemiology?

12 MR. WALKER:

13 I would say in the majority of
14 cases -- Now that's where possibly you could
15 introduce the thought of potency.

16 CHAIRMAN PADGETT:

17 Okay. Thank you.

18 MS. ANDERSON:

19 In mentioning the saccharin
20 case, I gather that you read this policy as being
21 virtually the same as the Delaney Clause -- which
22 of course, I'm sure you know, is unique in all
23 the Federal regulations in that it establishes
24 an absolute ban on the chemical which has been
25 shown to be associated with the induction of

17-c

1 cancer in humans or animals.

2 And of course, when the FDA took
3 its action on saccharin, it was taking action
4 that Congress said it had to take and it was
5 because Congress essentially changed the law of
6 saccharin that's still on the market.

7 MR. WALKER:

8 Yes.

9 MS. ANDERSON:

10 So I'm not sure I see that as
11 relevant to this topic, and I'm wondering how
12 you read this Policy to imply the same thing that
13 the Delaney Clause dictates to the FDA --

14 MR. WALKER:

15 Actually, with the factors you
16 list there, you have a more sweeping ability
17 under this policy to condemn material that isn't
18 under the Delaney Clause. So I think if I recall
19 correctly, the Delaney Clause was confined to
20 animal testing --

21 MS. ANDERSON:

22 The Delaney Clause is animal
23 or human --

24 MR. WALKER:

25 Oh --

c 1 MS. ANDERSON:

2 It says as soon as you find
3 an association, that the substance can no longer
4 be intentionally be added to food which is an
5 absolute ban.

6 MR. WALKER:

7 Yes.

8 MS. ANDERSON:

9 I don't think there's any
10 intent of this policy and I'm wondering how you
11 read in this policy that as soon as there is any
12 association the EPA would absolutely ban certain
13 substances. I don't see the --

14 MR. WALKER:

15 You list a lot of criteria, some
16 of which are less demanding, like the Aimes Test,
17 and so forth as possible causes for listing and
18 let me -- zero allowable concept would lead you,
19 I think, rather rapidly --

20 Now, this may not be your
21 intention now -- but I think inevitably with that
22 line of logic it's going to -- the retreat will
23 be in that direction. "When in doubt, ban."

24 MS. ANDERSON:

25 I think -- ~ brought that up

19-c

1 simply because I think we see this policy being
2 interpreted in the extremes in both directions and
3 I think this certainly is a very extreme
4 interpretation of the policy and I don't believe
5 it's written --

6 MR. WALKER:

7 No. I would agree it isn't
8 written exactly that way but just the discussion c
9 zero implies that I think leaves the door open
10 for that.

11 CHAIRMAN PADGETT:

12 Mr. Joseph?

13 MR. JOSEPH:

14 Dr. Walker, I was just
15 wondering if you had the opportunity to read the
16 extended discussion and the supplemental statement
17 basis and purpose at the end of this Federal
18 Register publication, which discusses at
19 considerable length why the Agency does not believe
20 that zero emissions are required by Section 112?

21 MR. WALKER:

22 I did not dwell on that tailend
23 of it in particular detail.

24 MR. JOSEPH:

25 You might find some comfort in

1 that.

2 CHAIRMAN PADGETT:

3 Thank you very much.

4 Once again, now, let me ask:

5 Is there anyone -- Let's make sure I don't miss
6 anyone.

7 Is there anyone here who was
8 scheduled to speak or who wants to speak in this
9 afternoon's session? Anyone that I missed?

10 (There was no response.)

11 CHAIRMAN PADGETT:

12 We have the evening session
13 scheduled to begin at 7:00 p.m. At this point, we
14 have five individuals, I believe, who will speak
15 at that session.

16 So if there are no further
17 speakers, then we will adjourn.

18 I would remind you that the
19 record will be held open for 30 days from today
20 for the submission of additional, supplementary
21 information and comments into the record relative
22 to this hearing.

23 The hearing is adjourned, then,
24 until 7:00 p.m.

25 (Whereupon, at the hour of

21-c

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

3:10 p.m., the hearing in the
above-entitled matter was
adjourned, to reconvene this
same date, Thursday, March 13,
1980, at 7:00 p.m., at the
same location.)

- - - - -

E V E N I N G S E S S I O N

(7:06 p.m.)

CHAIRMAN PADGETT:

I want to welcome you to the continuation of the informal public hearing on EPA's proposed airborne carcinogen policy and the advanced notice of proposed rulemaking on draft generic practice and operation standards.

My name is Joe Padgett. I'm the Chairman of the session. And very shortly, I will introduce or call out the names of the other panel members.

This proposed policy was published in the Federal Register October 10th. There are copies of the Policy back on the back; and I think most of you have copies of it.

The public hearings have been held in three places -- two days, Monday and Tuesday in Washington; Wednesday in Boston; and today, here in Houston.

We had a session today. We adjourned about 3:00 o'clock and we are reconvening now at approximately 7:00 o'clock.

The hearing is being reported and so your comments will be put in the record.

22-c

1 And we are holding the public record open for 30
2 days until April 14th for submission of any other
3 comments, written comments, thatn you would like
4 to make in response, to supplement or rebut
5 anything, that you would like to submit as a resul
6 of information received here at the public
7 hearing.

8 Basically, each person will be
9 asked to speak; and the nominal time is
10 approximately ten minutes or so for your remarks.

11 Following that, we will have
12 questions -- if the panel has any questions of
13 you -- for the purpose of understanding better
14 what you have said and clarifying your comments --
15 not for the purpose of particularly arguing or
16 defending.

17 The transcript for both this
18 hearing and the other hearings that we have had
19 will be available for inspection and copying at
20 the various EPA Regional Office libraries -- the
21 nearest one is in Dallas -- and also at the EPA
22 Central Docket Section in Washington, D.C.

23 We have, I believe, four
24 witnesses whom we will be calling in the order in
25 which they are listed, unless there is some reasor

c 1 why a witness would like to change the order.

2 The panel members: On my far
3 left is Bob Bauman. And next to him, Bob Kellam,
4 both of the Air Programs office.

5 I am also in the Air Programs
6 Office.

7 Todd Joseph is on my immediate
8 right. He's with the Office of General Counsel.

9 And next to him is Dr. Anderson,
10 who is with the EPA office of Health and
11 Environmental Assessment.

12 And on my far right is Dave
13 Patrick, who is also in the Air Programs Office.

14 Unless you have any questions,
15 we will proceed with the calling of the first
16 witness.

17 The hearing record will remain
18 open for 30 days, until April 14th.

19 First on my list is Judy Martin.
20
21
22
23
24
25

25-c

STATEMENT OF JUDY MARTIN

MS.MARTIN:

I appreciate EPA offering citizens an evening hearing that we have have the opportunity to present comments on the proposed policies for controlling the emissions of cancer-causing substances in the air.

As a member of several groups concerned about air quality in the Houston area, I have become aware of the difficulties EPA faces in proposing pollution control strategies which satisfy the public's concern for environmental protection, which adequately implement Congress' legislative intent and which are acceptable to Industry and feasible to implement.

The proposed rules regulating the emissions of airborne carcinogens seem to strike a balance among the contending interests EPA must respond to. The rules incorporate a concern for the public health impact of carcinogens by establishing testing and identification procedures and an ample margin of safety in the consideration of emission standards.

They also recognize Industry's need for rapid responses to fugitive emissions

1 without costly equipment purchases, but rather
2 through generic, broadly applicable standards
3 which emphasize improved work procedures to detect
4 and repair leaks.

5 Since the number of substances
6 which EPA might investigate is large, it seems
7 necessary to focus on indentifying the most
8 dangerous ones which have a high probability of
9 human carcinogenicity and thus are a significant
10 cancer risk. Listing under Section 112 as a
11 hazardous air pollutant immediately is a good
12 first step. And the subsequent imposition of
13 generic standards on all industries possibly
14 emitting or producing such substances in a logical
15 second step. And the further step of a
16 quantitative risk assessment on all high probability
17 carcinogens is necessary to establish the need for
18 further regulations based on level of
19 carcinogenicity and exposure of the public.

20 The risk assessment procedure
21 should probably be carried out on some of the
22 lower probability carcinogens as well so they are
23 not overlooked as substances needing regulation.
24 I would hope that the process of quantitative
25 assessment would not ignore the real-life impact

27-c

1 of low-level exposure of humans over a long
2 period of time.

3 I agree that there is no safe
4 threshold for exposure to carcinogens and that
5 human exposure should be as low as feasible.
6 The screening and ranking procedure is thus
7 necessary to give us a better understanding of
8 which substances must be most rigidly controlled.

9 I am very much in favor of
10 dealing directly with the sources of excess
11 emissions in a timely manner by requiring leak
12 detection and repair. Relying only on ambient
13 monitoring to expose fugitive emissions is
14 inadequate because of dilution of carcinogens in
15 the general atmosphere and the time delay in
16 recovering data on monitored excesses. Preventin
17 fugitive emissions is a much more satisfactory
18 program.

19 The generic standards which rel
20 on tightened procedures by plant personnel on
21 a regular basis that specifies proper maintenance
22 rather than high capital expenses is a low cost
23 control strategy which should be agreeable to
24 Industry.

25 Emission standards and further

-c

1 control strategies must be based on the protection
2 of public health. Dealing with new sources on
3 a case-by-case basis may be effective as long as
4 emission standards and BAT are followed and
5 the question of new plant siting is thoroughly
6 explored. There are too many variables to be
7 considered by EPA in waivers or granting alternate
8 emission standards.

9 Thank you.

10 CHAIRMAN PADGETT:

11 Thank you.

12
13
14
15
16
17
18
19
20
21
22
23
24
25

29-c

STATEMENT OF BRANDT MANNCHEN

MR. MANNCHEN:

My name is Brandt Mannchen. I'm representing the Houston Sierra Club.

Concerning page 58642 of the summary of this document, it is stated that "Listing under Section 112 would be accomplished, where applicable, by the proposal of generic standards for source categories producing or handling significant quantities of the substance." The "where applicable" should be removed. If the source is listed under Section 112 then there must be generic standards for its control.

The whole purpose of such standards is to reduce immediately at least some of the tonnages of carcinogenic materials being emitted into the air until such time as further study determines what the ultimate emission reduction mechanism is to be.

At the very minimum the generic standards should be used even on dispersed, hard-to-control, or small sources to reduce the overall ambient concentrations of carcinogens.

We fully support the concept of a no-threshold level for carcinogenic

c 1 substances. That is why it is so very important
2 to reduce to the maximum possible all carcinogenic
3 emissions whether by particular control equipment
4 or substitution. In fact, we favor substitution
5 wherever possible as the best way to completely
6 eliminate carcinogenic risks.

7 We are very disturbed that these
8 regulations do not include mutagenic or
9 teratogenic substances. These are at least as
10 harmful as carcinogens and need to be regulated
11 as well. Their effects, like carcinogens, are
12 hard to detect and have a long residence time
13 before they make themselves known.

14 When referring to the known
15 threshold level, I would like to make a comment
16 concerning Industry's claim that there is indeed
17 a threshold level for carcinogens. If these
18 claims are valid, then the only way they can
19 gain credence of acceptance is by publishing them
20 and their supporting data in accepted, reputable
21 scientific journals, like Nature or Science,
22 where they can undergo rigorous peer review.

23 When putting possible carcinogens
24 in one of three groups; high-substantial evidence,
25 moderate-suggestive evidence, or low-ancillary

31-c

1 evidence, page 58647, EPA must be careful not to
2 simply leave the chemicals in these groups with
3 no further data being gathered, analysed, or
4 tested. Any chemical put in the low or moderate
5 group simply because enough data has not been
6 produced must be put on a testing schedule which
7 will produce results quickly so further
8 verification of the proper classification of the
9 chemical can be made.

10 On page 58647(b) Preliminary
11 Evaluation of Ambient Exposure, if sampling data
12 is not available then EPA must have a mechanism
13 whereby it will be gathered as quickly as possible
14 to clarify what the ambient levels are.

15 Also, some substances may be
16 emitted in significant amounts but only or mostly
17 in conjunction with other pollutants. Therefore,
18 not only should specific sources of the pollutant
19 be sampled but also those which include the
20 chemical as a by-product, a major component, or
21 a less-than-major constituent of the emissions.

22 One aspect to take into account
23 is if or how quickly the carcinogens degrade or
24 break down in the environment.

25 It is alarming the synergistic

-c

1 effects are ignored. In conjunction with a
2 sampling program an ongoing program of synergistic
3 testing needs to be done to find out about these
4 mechanisms and at what, if there is a concentration
5 minimum, do these reactions not occur. Indeed,
6 known substances with synergistic effects or
7 suspected effects must be regulated much more
8 stringently than those without them.

9 On page 58655, even if the new
10 source meets the requirements of the Risk
11 Avoidance Criteria for the applicable source
12 category, it should not automatically be permitted
13 to meet the BAT standard instead of the National
14 Emission Standard. One reason for this is because
15 there is at present no mechanism which prevents
16 residential building around the industrialized
17 areas and thus, increasing the density in the
18 area and the number of people exposed.

19 In addition, the possibility that
20 even small levels of carcinogens may be cumulative
21 in their effect and the fact that many of them
22 may be carried far away from their place of
23 emission could cause an unnecessary risk for
24 people living beyond the EPA stipulated distance
25 criteria.

33-c

1 Concerning the proposed Generic
2 Standards, EPA must be required to make periodic
3 inspections of plants for fugitive emissions leaks
4 so that the companies' maintenance programs will
5 be adequately monitored to ensure compliance.

6 The concentration value which
7 constitutes a leak should be 1000 parts per million
8 and the number of days to fix the leak should be
9 seven working days and the standards should effect
10 equipment which comes into contact with a liquid
11 mixture containing one percent more by weight
12 or volume of organic chemicals listed by EPA as
13 carcinogenic air pollutants.

14 For storage equipment of greater
15 than 40 cubic meter capacity, the chemical storage
16 regulations should apply. We support the use of
17 8-hour limit for liquid spill clean-ups but, if
18 the spill is larger than a certain size, it may
19 take longer to clean-up, so perhaps there should
20 be a size limit included here for the 8-hour
21 clean-up.

22 We have listed a variety of
23 carcinogens which we are concerned about, and
24 there are about 20 or 21 of them; and I won't go
25 through all of them. But these are the ones

c 1 that we are particularly concerned about. This
2 is by no means an exhaustive list but these are
3 at least some of the specific compounds and/or
4 sources which emit these compounds which need to
5 be regulated. Some of these are already regulated
6 but there is a need to keep emissions as low as
7 possible because of the no-threshold level, so we
8 want as stringent controls as possible.

9 As far as mutagens and teratogens,
10 we have a few of those listed also, to be looked
11 into, as far as testing.

12 We appreciate the opportunity
13 to comment and anticipate your final decision.

14 CHAIRMAN PADGETT:

15 Thank you.

16 I'd appreciate it if you'd
17 read the list.

18 MR. MANNCHEN:

19 1) Arsenic

20 2) Beryllium

21 3) Asbestos

22 4) Benzene

23 5) Nitrosamines

24 6) Various pesticides

25 (2,4,5T, et cetera,)

35-c

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

7) Cadmium

8) Nickel Carbonyl

9) Radiation

10) Coke oven emissions

11) Chromium

12) Diesel Exhausts

13) Vinyl Chloride

14) Selenium

15) Carbon Tetrachloride

16) Polycyclic Organic Matter,

which includes polycyclic aromatic hydrocarbons
like:

- Benzopyrene
 - Idenopyrene
 - Benzacephenanthrylene
 - Benzofluoranthene
 - Dibenzopyrene
 - Polynuclear imino-Heyerocycli
- compounds, and Polynuclear aza-heterocyclic
compounds like Dibenzacridine
- 7, 12 Dimethylbenzanthracene
 - Benzophenanthrene
 - 3 Methylchlolanthrene

17) Toluene

18) Chloroform

19) Methyl ethyl ketone

20) Catalytic cracking of crude
oil.

(There was a pause in the
proceedings.)

1 CHAIRMAN PADGETT:

2 Do you have all that (addressing
3 the Reporter)?

4 THE REPORTER:

5 More or less, I believe.

6 MS. ANDERSON:

7 Do you have the data? Could
8 you give us some references, say, for Toluene?

9 MR. MANNCHEN:

10 I -- I could go back and look.
11 I took this out of several source books. One of
12 them happened to be Politics of Cancer, Malignant
13 Neglect.

14 Another one had to do with
15 Health Effects of Air Pollutants (sic) by George
16 Walbott. These are mainly --

17 MS. ANDERSON:

18 Most of -- most of them -- now,
19 I know about

20 MR. MANNCHEN:

21 Okay.

22 But those are essentially the
23 source books which I got most of these out of.

24 CHAIRMAN PADGETT:

25 Dave, do you have any comments?

1 MR. PATRICK:

2 Really, my only question would
3 be, you made a statement that the generic fugitive
4 or the generic standards that we are -- to be
5 listed as advance notice should not be on a where-
6 applicable basis, but really, I gathered, in every
7 case whenever we had a listing for a hazardous
8 pollutant or for chemical carcinogen, there should
9 be generic standards; you don't leave any sort of
10 flexibility.

11 Now, I presume by that you mean
12 that there just should be some types of generic
13 standards that are -- that deal with the kinds
14 of housekeeping problems that we listed here.

15 These particular ones happen
16 to deal more with very volatile kinds of chemicals

17 MR. MANNCHEN:

18 Right.

19 MR. PATRICK:

20 You weren't -- I'm assuming that
21 you weren't indicating that these should be applied
22 across the board to even unvolatile or less-volatile
23 materials?

24 MR. MANNCHEN:

25 Just whatever you list in 112.

1 I think there should be generic
2 standards for them. Yeah.

3 CHAIRMAN PADGETT:

4 Do you have any comments?

5 MS. ANDERSON:

6 Just one other comment.

7 I think I understand what
8 you're saying about the no-threshold limit. And
9 perhaps that is certainly a valid way to base
10 that policy.

11 You're saying in specific cases
12 where something other than a no-threshold shape-
13 to-the-dose-response curve is to be applied that
14 it should be based on data that has been published
15 and subjected to peer review, on a case-by-case
16 basis?

17 MR. MANNCHEN:

18 When I'm referring -- Are you
19 referring to the statement I made about industry's
20 comments? Is that what you're talking about?

21 MS. ANDERSON:

22 Well, earlier in your statement,
23 you said --

24 MR. MANNCHEN:

25 Okay. I was referring to that.

1 simply because we seem to have this -- these two
2 sides.

3 One says there's a threshold
4 one says there's not a threshold. As far as I've
5 been able to determine, all the things I've seen
6 said there's no threshold. No one's been able
7 to determine threshold on any carcinogen.

8 So if industry says there
9 ins't a -- I mean, there is a threshold, then it'
10 up to them to bring forth the data and bring it
11 out in respectable scientific journals and let
12 it stand peer pressure and review and everything.
13 And if it's good data and everything, it'll stand
14 up. Tha's all I'm saying.

15 MS. ANDERSON:

16 Thank you. I think that's
17 what we say in EPA guidelines for assessing
18 carcinogencity risk and also in our Federal docum
19 which establishes a scientific basis and that is
20 if you find a situation where you can identify
21 a threshold, then present new information

22 MR. MANNCHEN:

23 All right.

24 MS. ANDERSON:

25 Thank you.

CHAIRMAN PADGETT:

How do you see the goal of risk assessment in -- in control of carcinogens?

MR. MANNCHEN:

I'm not real keen on risk assessment myself.

I personally -- just my own feelings are just that, you know, carcinogens are real tough, mutagens, teratogens.

It would just be smart policy to keep the emissions as low as possible, control as many sources as you can; and that's why I mentioned substitution, because that way, you get rid of the carcinogen completely. If there's any way, I think that would probably be the best route to go.

I would also like to mention, you asked Judy Martin before about siting. Especially in Houston, we have no zoning in this area, and I think it is extremely bad when you can see -- I won't name any particular plant -- but you can see an oil refinery here and right nextdoor is a residential area and you know that those emissions are going into the air and you don't know what's happening to those people; you don't know if they're

1 being affect by possible carcinogenic emissions
2 or others.

3 And it's kind of a really
4 deplorable situation when something like that
5 occurs. And it may get to the point where some
6 sort of land-use controls are needed because
7 I just don't think you should be siting either
8 way, either industrial plants in residential area
9 or residential areas near industrial plants.

10 Maybe, you know buffer zones
11 or -- oh -- what do they call them -- just areas -
12 just strictly for plants, industrial parks, et
13 cetera, et cetera, should be the types of things
14 that we should more toward .

15 But I just can't see mixing
16 the two, because to me they are incompatible when
17 you've got hazardous substances in the air.

18 CHAIRMAN PADGETT:

19 Thank you.

20 Can you give us a copy of
21 your speech --

22 MR. MANNCHEN:

23 Yes. I was going to, anyway.

24 CHAIRMAN PADGETT:

25 That would help with the

1 spelling of some of those chemical terms.

2 MR. MANNCHEN:

3 I'm not sure they're exactly
4 spelled correctly.

5 MR. KELLAM:

6 Mr. Mannchen, I just wanted
7 to pursue one of the comments that you made that
8 had to do with your preference for the substitution
9 of -- of other types of substances for airborne
10 carcinogens.

11 MR. MANNCHEN:

12 All right.

13 MR. KELLAM:

14 One of the things that we've
15 come across in looking at substitution as an
16 alternative is that in many cases we know less
17 about the substitutes than we know about the
18 chemicals we're trying to regulate.

19 MR. MANNCHEN:

20 Right.

21 MR. KELLAM:

22 Would you have any suggestions
23 for how we would "clear" or in some way render
24 innocent a substance before we use it as a
25 substitute?

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MR. MANNCHEN:

Well, it's obvious you should go ahead and take a substitute for carcinogen without it having been tested. I think that's -- That's not the way to go, because like you said it could be worse if you find out later that this might be even a worse carcinogen than the other thing.

I think if you're going to substitute something, you've got to know what it is and how it affects people and that means carcinogenicity, it means mutagenicity, that mean teratogenicity, that means chronic or acute poisoning -- whatever, I mean, you got to know about, because you don't want to go ahead and put something worse in than what you've got.

MR. KELLAM:

Secondly, you mentioned that as far as pollutants which have demonstrated synergism --

MR. MANNCHEN:

Yes?

MR. KELLAM:

-- or potentiation of carcinogenic effects should be regulated more

1 stringently, in some cases I think such things as
2 co-carcinogens may not directly induce cancer
3 but only in combination with another agent.

4 Would you have any comments
5 on how the Agency should view such things as
6 co-carcinogens or potentiating agents that
7 are not themselves direct cancer inducers?

8 MR. MANNCHEN:

9 I see. Okay.

10 Well, again, since these
11 potentiators seems to be such integral with the
12 carcinogen itself, I would say you might have to
13 go ahead and regulate those, too, as much as
14 possible.

15 I would personally be in
16 favor of it.

17 MR. KELLAM:

18 One final question, just a
19 follow-up on Dave Patrick's question.

20 I -- the generics that we
21 currently have that are published separately, but
22 really incorporated in this Policy, really apply
23 to the synthetic-organic chemical manufacturing
24 industry -- and the reason we call them "generic"
25 is that they're a great deal -- there are many

1 similar processes in that industry that lend
2 themselves to a generic approach.

3 MR. MANNCHEN:

4 Right.

5 MR. KELLAM:

6 Is your suggestion that
7 these generics should be expanded, or modified,
8 in some way so that they would apply across not
9 only this industry but also smelting and refinery
10 or other industries that are very dissimilar, I
11 think, in many ways.

12 MR. MANNCHEN:

13 Okay.

14 Those particular generic
15 standards you've got there may not apply to other
16 industries; but that doesn't mean you can't make
17 generic standards for each industry, like smelting,
18 for instance.

19 You can make maybe some simple
20 generic standards -- if there are any; I don't know
21 but to go ahead and cut down the amount now until
22 you can get your long-range policy into effect
23 to where you can deal with it more directly.

24 But I think that it's real
25 crucial that we go ahead and cut down on the amount

1 of carcinogens in the air right now as much as
2 possible and it seems a good idea that generic
3 standards are a good way of doing that.

4 MR. KELLAM:

5 Thank you.

6 MR. MANNCHEN

7 Thank you.

8 CHAIRMAN PADGETT:

9 Janet Maier?

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

STATEMENT OF JANET MAIER

MS. MAIER:

I'm Janet Maier and I'm speaking as an individual.

I feel that the policies proposed by EPA and its information here offer a reasonable approach towards reducing the risks associated with airborne carcinogens.

The plan, I think, should be implemented as soon as possible. But there are some suggestions that I have regarding areas that I don't think were adequately addressed.

I think we cannot ignore synergistic effects, as Mr. Mannchen was discussing with you.

Also, I think that the effects of mutagenic and teratogenic substances cannot be overlooked if you look at the cost in both dollars and psychological anguish that these bring. It is something that is too significant to ignore

I support the Sierra Club's position of Houston, Texas on this issue and I also would like to emphasize the emphasis of the no-threshold level for carcinogens and encourage implementation immediately of the generic standards

1 for maximum, short-term protection until we have
2 the long-term into effect.

3 I was one of the people that
4 wrote in and specifically asked for an evening
5 hearing and I'm really glad that this has happened.

6 I guess what concerns me is
7 when is the average working person expected to
8 have the chance to speak.

9 This is my first time speaking
10 at a hearing. I'm kind of new at this business.
11 But I guess I have a fair education and a fair
12 awareness of what is going on.

13 But I think that the average
14 citizens do not have adequate awareness of a hearing
15 such as this. Industry can sink millions of dollars
16 into research, hire health experts and present
17 a well-organized front -- of course, individual
18 industries, I'm sure, and perhaps some professional
19 groups together.

20 But I think the average person
21 has a poor chance of being represented here. They
22 do have a huge investment in their health. I
23 was wondering like how many people are here tonight
24 are not with an industry of some kind.

25 Probably not very many. How

1 many people are here?

2 [Laughter]

3 How many people know of the
4 Federal Register on the street? I never knew of
5 one until I became interested and worried about
6 the air pollution here in Houston.

7 Let's see.

8 I'd like to see more of the
9 efforts on your part to publicize these things
10 and I realize a lot of it's technical and the
11 average person would not understand some of the
12 things -- the technical details going on. But I
13 think it can be brought down to a lay-person's
14 level with some effort and some investment in
15 the cost that that would take.

16 But I think it's terribly
17 important. I'd love to know how many people, you
18 know, bodies, are represented in the people you
19 have heard in the last few days in comparison
20 to the average person on the street.

21 Is that clear?

22 The number of people -- I
23 don't know the statistics, but I'd like to know how
24 many people are involved, either by job or by
25 stockholding, in the companies that will be involve

1 in controls on carcinogens as compared to the number
2 of people in the country at large.

3 Virtually every person in
4 this country will be breathing the air which
5 carries the carcinogens, teratogens and mutagens.
6 And that's something that I think would be of
7 importance to everyone if it was explained on their
8 level so they could understand that.

9 I think we've seen some of
10 our mistakes in the past in emissions which turned
11 out to be carcinogenic, not only airborne but
12 other kinds. And I think it is time now to act
13 very strongly to stop that until we can catch
14 up with our awareness of the effects of all this
15 so we can control that.

16 And I think it is interesting
17 that this hearing deals with cancer, in particular,
18 because it's my understanding that cancer is
19 uncontrolled growth on the cellular level and I
20 think maybe in this country we have a bit too much
21 uncontrolled growth economically or industrially
22 and it can be very devastating to us in the form
23 of a cancer also if we don't control it. And that
24 is how you control growth and that's part of the
25 backbone of this country. But just as growth in the

1 human being starts out as necessary and it has to
2 get to a certain point to be an adult, and then
3 there's a level of growth that's necessary to
4 maintain that.

5 But if it gets out of hand,
6 it's fatal. And I think EPA can use the power to
7 control that.

8 CHAIRMAN PADGETT:

9 Thank you.

10 (The speaker prepared to leave.)

11 CHAIRMAN PADGETT:

12 Wait a minute. Before you
13 leave --

14 MS. ANDERSON:

15 I think we all find it
16 very refreshing that you all come out tonight and
17 on behalf of the panel, we thank you for coming.

18 You were wondering how many
19 private citizens have spoken to us. And just to
20 give you some feel, I've looked back through the
21 speakers who came to the panel here at Boston
22 yesterday -- and roughly -- there are quite a
23 few; in the neighborhood of about 15 or so out of
24 25 represented individual neighborhood groups.

25 I think we found it very

1 refreshing to hear these statements from people
2 who, I think, someone said earlier -- the statements
3 have not been necessarily grilled by their attorneys --

4 [Laughter from the audience obliterated
5 several words]

6 MS. MAIER:

7 I work in a very poor area
8 and the City, probably the lowest-income school
9 in Houston, according to statistics.

10 And as I mentioned before,
11 there are no joining, quote-unquote, areas here
12 and there's industry all around.

13 And I think it's just vital
14 that these peoples' interests be kept in mind
15 even though they're not here to speak and probably
16 aren't very aware of what's going on.

17 CHAIRMAN PADGETT:

18 Thank you.

19 The next speaker I have
20 listed is Sylvia Grickle (phonetic).

21 Is Sylvia here?

22 (There was no response.)

23 Anybody from the Union?

24 (There was no response.)

25 Was there someone else who

1 wanted to speak?

2 MR. JOHN FAFOUTAKIS:

3 Yes, sir.

4 I'm a private citizen.

5 CHAIRMAN PADGETT:

6 May we have your name.

7 MR. FAFOUTAKIS:

8 I'm John Fafoutakis. I signed
9 the sheet out front.

10 CHAIRMAN PADGETT:

11 How do you spell it?

12 MR. FAFOUTAKIS:

13 Okay.

14 Make a lot of room for the
15 last.

16 [Laughter]

17 MR. FAFOUTAKIS:

18 F-a-f-o-u-t-a-k-i-s; first
19 name is "John."
20
21
22
23
24
25

TESTIMONY OF JOHN FAFOUTAKIS

MR. FAFOUTAKIS:

First of all, I want to thank the EPA for coming to Houston and taking public comment.

Secondly, I wish to publicly chastize the news media in Houston for giving your arrival such very poor advance notice. Virtually no advance notice was given of your arrival to allow the general public, as well as industry, to come up here to give good general input, both the pros and cons, about these emission regulations.

The television media did virtually nothing to announce your arrival. In the Houston Chronicle, a very, very small article was listed in yesterday's paper announcing your arrival.

And I think this is very poor because the people do not know what is in effect just around the corner with the EPA. They don't know what you are proposing because they're not being informed by the media. The media has done a very poor job and it's quite understandable with their past performance.

One of the things that concerns

1 me is the threshold level for pollutants and
2 carcinogenic materials that have been discussed
3 thus far this evening, when we really look at
4 virtually every substance -- both carcinogenic
5 and even so-called harmless substances have at
6 one point or another some sort of a tolerance
7 level.

8 Even water, for instance,
9 just clean, pure water; one person's body can
10 absorb just so much of that water before their
11 cells literally drown in it.

12 So in order for industry or
13 the Environmental Protection Agency or anyone to
14 try to discern any specific tolerance level is
15 a virtual impossibility I believe because what may
16 be a threshold for one particular individual --
17 someone, let's say --

18 I, for instance, can tolerate
19 only a certain amount of percentage, a certain
20 percentage of carcinogenic material; perhaps another
21 individual can tolerate a lower threshold level and
22 yet another individual can tolerate a much higher
23 level.

24 So to try to set any arbitrary
25 standard, any arbitrary threshold level would be

1 very, very arbitrary indeed, because you cannot do
2 it, you cannot set a general standard and accept
3 everyone -- "expect" everyone to be able to
4 somehow hopefully comply with that standard.

5 One of the things that I'm
6 concerned about, too, having lived in the Denver,
7 Colorado area, the EPA has done quite a bit of --
8 well, you might say, work in the Denver area in
9 trying to clean up their very obvious pollution
10 problem that they're having up there.

11 And one of the solutions
12 that they're coming up with is actually something
13 that I vehemently disagree with and that's the
14 forcing you might say through mandates, forcing
15 the reduction of vehicular miles travelled by
16 drivers, by automobile drivers.

17 And this was also one of
18 the EPA's proposals that we had given to us in
19 the Houston area back in around 1973-74, if you
20 recall.

21 I was wondering if such
22 proposal, since I haven't had the time coming in
23 here this evening to fully read your proposal,
24 are these proposals under consideration for the
25 Houston area, for reduction of let's say vehicular

1 miles travelled or anything like that?

2 MR. JOSEPH:

3 This proposal deals only
4 with the emission of --

5 MR. FAFOUTAKIS:

6 -- stationary sources?

7 MR. JOSEPH:

8 Right.

9 Carcinogenic compounds from
10 stationary sources.

11 MR. FAFOUTAKIS:

12 Okay.

13 But if, let's say, the
14 stationary sources are reduced to a certain level,
15 whether it's an EPA level or just the quote "the
16 lowest possible level," and yet pollution continues
17 to be a problem, would then the automobile somehow
18 take the blame?

19 Because one of the things I
20 was distressed to see when I was living in the
21 Denver area is although automobiles up there were
22 being increasingly subject to more stringent
23 pollution inspections and things like that and
24 they were, as a matter of fact, being targeted by
25 EPA and in particular by the Colorado Air Resources

1 Board, in being the main cause of pollution.

2 It was in fact industry which
3 was causing the bulk of Colorado's pollution. If --
4 I don't wish to start deviating and go over to
5 Colorado, but I just want to give you this as an
6 example.

7 One short drive down a little
8 stretch of Interstate 225 in northeastern sections
9 of Denver, Colorado will show you very readily the
10 copious amounts of air pollution being emitted
11 from several industrial stationary sources whereas
12 those individual sources were polluting the air
13 let's say thousands of times more than any particular
14 bus, or truck, or automobile could. They were
15 not the ones being penalized; the ones being
16 penalized were the everyday people who were just
17 trying to drive their car to and from work, being
18 told that possibly some sort of a reduction in
19 vehicular miles travelled was going to be mandated
20 upon those people and I'm concerned that these
21 controls will be placed upon the American public
22 unfairly.

23 And as an example to show what
24 industry has done, let's say the automotive industry
25 has done, to reduce vehicular emissions, I have with

1 me a copy of an EPA report on the Texas Motor
2 Vehicle Emission Control Pilot Testing Program I
3 went by there earlier this afternoon and had my
4 personal car checked on the EPA monitoring devices.

5 Now, it's an 1980 model,
6 and according to 1980 standards, 1980 standards
7 call for at least -- well, 200 parts per million
8 of hydrocarbons or less.

9 My particular vehicle on
10 the second idle registered well under the 200
11 hydrocarbons -- as a matter of fact it was registe:
12 only 23 hydrocarbons -- 23 parts per million in
13 hydrocarbons.

14 EPA's standards also called
15 for two percent carbon monoxide for the 1980
16 model year or less. And my vehicle was emitting
17 two one-hundreths of a percent of carbon monoxide.

18 And on the propane gain, wher
19 they give it a propane test, the value should be
20 ten or more; and my vehicle read "100," which
21 is roughly ten times cleaner than the standard
22 calls for.

23 So it seems that at least
24 Detroit has made a very legitimate effort to reduc
25 vehicular emissions.

1 And I'm sure that there are
2 those in other industries who are making similar
3 legitimate efforts in order to try to reduce
4 emissions from their stationary standards.

5 One of the things that we must
6 not overlook that Nature causes a large number of
7 pollutants to be emitted into the atmosphere.

8 For instance, the three
9 greatest volcanic eruptions that have taken place
10 world-wide, in this century, have done more to
11 pollute the atmosphere than all -- this is
12 according to Stanford -- than all of the manmade
13 pollutants.

14 So what we have here is
15 Nature by Her own acts has contributed more to
16 pollution -- even the Indians who were living in
17 the state of California back in the 1600's referred
18 to California as the "land of the smoke," simply
19 because of the hydrocarbons which were emitted
20 by the natural sources: trees and vegetation --
21 were great enough back then for the Indians to
22 notice visible smoke.

23 Now, obviously they didn't
24 have Dow Chemical or any of the other chemical
25 plants or rubber plants or petrochemical plants

1 operating in California in the 1600's.

2 But we have to realize that
3 there are certain sources which we have no controls
4 whatsoever, no matter how well-intentioned the
5 EPA, or the Texas Air Control Board may be, or
6 private citizens or environmental groups may be.

7 There are certain sources,
8 mainly Nature, that we cannot control under any
9 circumstances.

10 So we must remember that when
11 we do formulate and when we do promulgate rules
12 for emission controls that we do not take a
13 meat-axe approach and try to acheive limits that
14 are somehow unattainable, limits which were set
15 forth a few years ago when EPA was in Houston
16 around, I believe, '73 or '74, with their proposals
17 to try to reduce vehicular miles travelled in the
18 Houston area by some magical 75-percent figure
19 without an adequate mastering of the system or
20 anything else.

21 Some of the standards that
22 were being discussed at that time were -- had
23 required cleaner than is available in the middle
24 of the Gulf of Mexico, where no stationary pollutic
25 sources exist, where no vehicular pollution sources

1 exist.

2 So we have to use a more
3 reasonable approach, a more balanced approach and
4 we have to realize that industry, with its money
5 limitations can emit only a certain amount of
6 funds, can expend only a certain amount of funds
7 for pollution control efforts simply because if
8 you just tell industry haphazardly, "You will
9 reduce your pollution levels to a certain standard
10 no matter what the cost," then what can we expect
11 to pay for this added benefit?

12 Now, true, everyone wants
13 cleaner air, whether it's industry -- I'm a
14 private citizen. I'm not here representing any
15 of the industrial sources in the Houston area
16 or anywhere else. I'm not representing any sort
17 of a lobby group whether it's environmentalist
18 of industrial.

19 I'm a private citizen concerned
20 that if we take a meat-axe approach that in order
21 to achieve a non-existent level of pollution,
22 that we're going to end up paying ten and twenty
23 times more for a product simply because industry
24 obviously is not going to foot the bill for
25 pollution.

1 They have to pass this bill
2 on to the consuming public.

3 If you fine an industry
4 for instance for pollution violation, do we
5 seriously think the industry is going to absorb
6 that fine?

7 No. They will have to pass
8 it on to the consuming public. No one is going
9 to allow their plant to absorb the cost. This will
10 merely mean higher prices to the consuming public,
11 just like it really -- it really angers me whenever
12 Government officials say, "We're going to tax
13 this industry or that industry. We're going to
14 levy a tax," like the so-called wind-fall profits
15 tax, when in fact industry merely passes the
16 tax along to the consuming public and it is the
17 consumer not the industry who has to pay for it
18 in the end.

19 So I think a balanced approach
20 cooperation with industry and the EPA is necessary
21 not a meat-axe approach trying to achieve an
22 infinitesimal amount of pollution, a quote, "no-
23 pollution aspect," because we can't do it. And
24 I think industry is doing a fine job. And in
25 cooperation with the EPA and a balanced program, I

1 think we can lick the pollution problem. But
2 the things that we have to realize, again, in
3 summation, is that there are sources of pollution
4 of which we have no control over, particularly
5 pollutants, as Stanford University pointed out in
6 a study around 1973, that some 93 percent of all
7 hydrocarbons are emitted by Nature, not by man.

8 So we have to realize this
9 and we have to realize that industry has to be
10 given cost-effective guidelines because they
11 can't just expend all of their funds fighting
12 pollution.

13 It has to be done in a
14 balanced approach.

15 Any questions?

16 CHAIRMAN PADGETT:

17 No, I think we agree with
18 you on that.

19 Do you have any comment?

20 MR. FAFOUTAKIS:

21 Mr. Padgett, if I may make
22 one suggestion, since you gentlemen and ladies are
23 here with EPA, has anyone as far as reducing
24 vehicular emissions to a greater level, doing
25 whatever part you can to reduce emissions, has

1 anyone thought of asking the refineries to
2 concentrate more on gasohol, because having lived
3 up in the Colorado, Wyoming area, I do know that
4 gasohol does work, I have used it in vehicles befor
5 that gasohol as a matter of fact can reduce
6 emissions far greater than any catylitic converter
7 or anything like that simple rather than trying
8 to clean up the emissions after it's gone through
9 the engine this is a cleaner burning fuel which
10 allows lower emissions levels, greater fuel
11 economy and more power for the vehicular engine
12 (sic).

13 And gasohol, I think, would
14 also be beneficial in helping the farmers to
15 get over their plight of not being able to sell
16 all that grain that were going to sell to the
17 Soviet Union and other countries which is of
18 course being boycotted by the President.

19 So I think this would be
20 a very good point here to expand the use of
21 gasohol to further reduce vehicular emissions
22 even for non-controlled vehicles such as pre-
23 catylitic-converter vehicles and heavy-duty
24 trucks which of course do not have emission
25 controls on them.

1 Thank you very much.

2 CHAIRMAN PADGETT:

3 Thank you.

4 Do we have anyone else who
5 wants to speak tonight?

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

TESTIMONY OF LOU ANN ANTHONY

MS. ANTHONY:

My name is Lou Ann Anthony
and I'm talking as a private citizen.

I did read this EPA proposed
legislation and I would like to compliment the
authors for their very even-handed and intelligent
review of what I perceive to be the current state
of the art.

I would like to make three
brief statements in support of what I perceive
to the EPA's position on three things.

First of all, in the article
it was viewed as what would be the optimal kinds
of data we would like to base our decisions on?

And I think rightfully it's
pointed out that good epidemiological evidence
in humans would be our first choice.

But I would like to suggest
that we do not ignore or denigrate animal testing.
To the best of my knowledge, there are only two
substances -- benzene and arsenic -- which are
factors which are known to produce cancer in human
and don't produce it in animals.

So I think the correlation

1 between animal data and human data is quite strong
2 and I hope you don't neglect it and simply go to
3 saying, "We have to have epidemiological evidence
4 in humans for our criteria for defining whether
5 something is a human carcinogen." So I would
6 urge retention of animal testing.

7 I would also like to suggest
8 that one of the criticisms of using animal testing
9 is that it is 1) expensive and 2) it takes a
10 long time. Typically, carcinogen tests take
11 something like three years and maybe cost something
12 like \$500,000.

13 Many scientists are suggest-
14 ing the use at least in a preliminary sense of
15 the in-vitro Ames Test, which is basically
16 a mutagen test, and there is a strong correlation
17 of a number of substances. I think the correlation
18 is something like 70 percent that substances
19 which are mutagenic are later found to be
20 carcinogenic.

21 So this is a relatively
22 inexpensive screening test which may be used as
23 preliminary data to at least suggest the substance
24 is a carcinogen.

25 Secondly, I would urge that

1 the EPA change the philosophy which seems to be
2 expressed in the proposed standards. Again, the
3 philosophy -- and that in the absence of
4 identifiable expressed thresholds -- that carcinogens
5 do pose some risk of cancer at any exposure level.

6 And I think a number of
7 speakers have spoken to this and have urged retention
8 of this process. And I would like to express my
9 support of this philosophy as well.

10 And finally I would like to
11 urge the continued interpretation of the language
12 of Section 112 that states that the EPA, or as it
13 is expressed in the language of the proposal,
14 the "administration," provide an ample margin of
15 safety to protect the public health.

16 And what I'm trying to get
17 at here that I urge you not go to a risk-benefit
18 ratio kind of philosophy which oftentimes industry
19 has proposed as an alternative to this type of
20 language.

21 I think using risk-benefit
22 ratio or risk-benefit analysis is a cop-out,
23 because we have to at some point appoint someone
24 to be that all-knowing, all-seeing person who can
25 put a dollar value on cancer. And I don't think

1 that can be done.

2 So I would hope that this
3 type of analysis would not be used for determining
4 whether something is a carcinogen or what level
5 of carcinogen it is supposed to be.

6 Thank you very much.

7 CHAIRMAN PADGETT:

8 Any questions?

9 MR. KELLAM:

10 Just one question. Ms. Anthony,
11 you mentioned that you feel the bacterial
12 immunogenicity tests that Bruce Aimes pioneered
13 would be useful in -- and low-cost -- in determining
14 whether substances have a high -- or whether
15 substances might be genuine carcinogens.

16 But do you feel that it
17 should be given or that EPA should consider
18 regulating a substance if the only evidence that's
19 available is a positive mutagenicity assay?

20 MS. ANTHONY:

21 No. But I'm saying that this
22 could be used -- You know, one of the speakers
23 earlier on said, you know, there's many potential
24 carcinogens and one of the things that EPA's
25 trying to determine, "Well, which ones are we going

1 to focus on?" Or "Which are the ones that we
2 should be concerned about as co-carcinogens or
3 promoters?" or so forth

4 And I'm just suggesting that
5 this is a technique where we might get at some of
6 these additional substances.

7 MR. KELLAM:

8 So you feel that might be
9 a useful screening test to --

10 MS. ANTHONY:

11 Right. I'm not saying that
12 this should be the only piece of data which says,
13 "Aha! We're going to ban this."

14 But I'm saying that this could
15 be used as a useful screen.

16 MR. KELLAM:

17 All right.

18 Thank you.

19 CHAIRMAN PADGETT:

20 Is there anyone else who'd
21 like to speak tonight?

22 (There was no response.)

23 CHAIRMAN PADGETT:

24 If not, then, we will adjourn
25 the meeting. Thank you for coming.

1 (Whereupon, at the hour of
2 8:02 p.m., the hearing in the
3 above-entitled matter was closed.)
4
5
6

7 ---o0o---
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

37-c

C E R T I F I C A T E

This is to certify that the attached proceedings
before the Environmental Protection Agency, in
the matter of the

U.S. Environmental Protection Agency

Public Hearing

Houston, Texas

Thursday, March 13, 1980

on

Proposed National Emission Standards

were held as herein appears and that this is the
official transcript thereof for the files of the
Environmental Protection Agency.


Misha Christophe Preval,
Certified Shorthand Reporter